

2/7/2024 - Medical Standards - Troy, New York
NEW YORK STATE
DEPARTMENT OF HEALTH

MEDICAL STANDARDS

DATE: February 7, 2024

TIME: 8:04 a.m. to 9:50 a.m.

CHAIR: JEFFREY RABRICH

LOCATION: Hilton Garden Inn
235 Hoosick Street
Troy, New York

2/7/2024 - Medical Standards - Troy, New York
(The meeting commenced at 8:04 a.m.)

CHAIR RABRICH: I'd like to call the
Med Standards meeting to order. For those of you who
don't know me, I'm Jeff Rabrich now chairing the
committee. I'd like to start first by thanking Dr.
Marshall. Who -- Dr. Marshall, as many of you know,
has been here quite a long time.

Well, it's over twenty-five years that
he's been participating in these meetings and
chairing this committee. And on behalf of everyone
here, we want to thank you for your many, many years
of service and we hope you continue to come and stay
involved on the committees. And -- and I'll turn it
over to you for any comments.

MR. MARSHALL: Thank you. Good
morning, everybody. I just wanted to thank all of
you for the honor and pleasure to work with you these
past twenty-five years and -- and my love for E.M.S.
In '92 I started teaching at the E.M.S. Academy. In
'97 I became a medical director for a fire
department.

In 2000, I represented REMAC. In
2003, I came on SEMAC. I stepped out of the room to
have a cup of coffee in 2006 and became chair of

2/7/2024 - Medical Standards - Troy, New York

APPEARANCES:
AMY EISENHAUER
AMY PAILLIN
ART COOPER
BRIAN WALTERS
CARL GANDOLFO
CARLA SIMPSON
DANIEL OLSSON
DAVID KUGLER
DAVID MARKOWITZ
DAVID VIOLANTE
DON DOYNOW

DONALD DUVAL, JR.
DONALD HUDSON

DOUGLAS ISAACS
DR. LEWIS MARSHALL
GREGORY GILL
JARED KUTZIN
JASON HAAG
JASON WINSLOW
JEFFREY RABRICH
JEREMY CUSHMAN
JONATHAN BERKOWITZ
MARK DEAVERS
MICHAEL BENAMONTE

MICHAEL DAILEY
MICHELE FORNESS

MIKE MCEVOY
PAMELA MURPHY

ROBERT CRUPI
RYAN GREENBERG
STEVEN DZIURA
THERESA ALLEN
TIFFANY BOMBARD
VALERIE OZGA
YEDIDYAH LANGSAM

2/7/2024 - Medical Standards - Troy, New York
Medical Standards seventeen years ago, so the moral
is, don't step out of the room.

I've seen us grow from eighteen
different protocols where we would sit here and
discuss minutiae and drug dosages for hours on end
and review protocols for weeks on end. And we always
talk about or at least I talked about Statewide
A.L.S. and B.L.S. protocols. And I just want to
thank everybody in this room, not just those at the
center table, for all the work that you've done
because we're essentially there.

We essentially have Statewide
protocols. The medicine is the same whether you're
in Buffalo or out and around. So it's been a
tremendous job and you guys have done tremendous
work. And it has been, as I said, my honor to -- to
work with you and learn so much because you guys are
the smartest people on E.M.S. in the world. So thank
you. And I'll be in the back.

CHAIR RABRICH: Thank you. Thank you,
Dr. Marshall. And good advice, I won't get coffee.
It's too late, but -- all right. The attendance
sheet will be going around. Old business, I would
ask Mr. Violante and Dr. Murphy if you would give us

1 2/7/2024 - Medical Standards - Troy, New York
 2 a little update on how the i-Gel project is going.
 3 **MS. MURPHY:** So I will introduce
 4 David, who does all the work. I just -- I just sit
 5 here. No, it's been an amazing development because
 6 we thought, okay, we're going to start this little i-
 7 Gel pilot project and God, it's exploded.
 8 David brought together all the numbers
 9 today and there's a lot of people that think, you
 10 know, people that have helped us look at cases,
 11 people that have been investigating and making sure
 12 we're following everything. Just rolling out all the
 13 education, getting everyone involved.
 14 And of course the REMSCO office in
 15 Hudson Valley, who's coordinating all the paperwork.
 16 But it's been, you know, a process, something that
 17 we've all learned from, and we're still learning
 18 from. But David has the stats, so he'll present
 19 those. It's amazing.
 20 **MR. VIOLANTE:** All right. Good
 21 morning, everybody. I am going to work on median
 22 numbers, if that's okay with everybody. If you want
 23 some averages, lows and highs, just -- just ask. I'm
 24 happy to give that. I'm going to also look at data
 25 that we have from two weeks ago which shows about a

1 2/7/2024 - Medical Standards - Troy, New York
 2 until first i-Gel attempt another seven minutes.
 3 Confirmation meeting is zero.
 4 So in that case we're looking at
 5 probably an A.L.S. partner on the same unit that's
 6 looking at and confirming that's in the right place.
 7 From patient transport begun twenty-three minutes.
 8 And then, time from transport to transfer of care
 9 eleven minutes. Total patient time median is thirty-
 10 one minutes.
 11 Initial entitled, nineteen. Highest,
 12 twenty-eight. Final, nineteen. And then, highest
 13 SpO2 eighty-eight. We have nineteen percent that
 14 expired in the emergency department and thirteen
 15 percent have loss in emergency department. Forty-
 16 five percent expired in the field, eleven percent
 17 loss in the field.
 18 In terms of regions, Mid-State has
 19 thirteen uses. Central New York, twenty-one.
 20 Wyoming, ten. Monroe Livingston, twenty. Nassau
 21 REMSCO eight. And that goes down from there. So
 22 those are some of the highest counties that have had
 23 the use of the i-Gels.
 24 And average patient time in those
 25 areas is somewhere around thirty-five, forty minutes

1 2/7/2024 - Medical Standards - Troy, New York
 2 hundred and sixty-four insertions.
 3 As of last week, we have up to two
 4 hundred and thirty-nine insertions. We just haven't
 5 crunched that particular data yet, so I'm going to go
 6 off previous data that we have of a hundred and
 7 sixty-four insertions. Of those one sixty-four, a
 8 hundred and thirty-one made it to the State bridge.
 9 And then out of those, we were able to
 10 scrub down to get data on a hundred and two. And so
 11 that's the data that I'm going to give you
 12 information on. So we have a median age of -- of
 13 seventy-one. It appears that if you're male, you're
 14 twice as likely to go into cardiac arrest and have an
 15 i-Gel inserted than if you're female.
 16 Median weight is eighty-six and i-Gel
 17 size of four. Median attempts is one, max of two.
 18 And there seem to be no complications in ninety-two
 19 percent of the cases. Other ones, there are
 20 vomiting, somehow nausea and apnea which I would sort
 21 of expect.
 22 Patient's response thirty-three
 23 percent, improve sixty-five percent, unchanged, not
 24 recorded a -- a -- a couple percentage points there.
 25 Time from dispatch until at patient is seven minutes,

1 2/7/2024 - Medical Standards - Troy, New York
 2 or so. And that's what we have at the moment, unless
 3 there's any other questions. I -- I'm happy to
 4 crunch the numbers again when we have a little bit
 5 better data from the last one of the two hundred and
 6 thirty-nine uses as well.
 7 Something to note with that is that,
 8 we've been communicating with the agencies. We have
 9 about eighty some odd agencies in the program doing
 10 this, and we're sending out quarterly notes about
 11 what's working, what's not working and what we can
 12 improve on.
 13 How to get information to us, how to
 14 use the Drupal. And in some of these agencies,
 15 they're realizing through this program that their
 16 charts are not getting to the State, and so they're
 17 correcting some of those issues which sort of improve
 18 the whole system, not just from the i-Gel
 19 perspective.
 20 And so our -- our latest thing that
 21 we're working right now is using a mail merge from
 22 Excel to show every agency what we have for their
 23 data on a monthly basis so that they can then improve
 24 data transmission from there. Some of the issues
 25 with the data come from providers, making sure they

1 2/7/2024 - Medical Standards - Troy, New York
2 put it in the right place in the P.C.R., not in the
3 narrative.

4 If it goes in the narrative, we have
5 no idea that it happened or not. Some of the dialect
6 issues among vendors. And so an i-Gel could be
7 considered an i-Gel, a supraglottic airway, a single
8 lumen, a dual lumen and a -- a supraglottic
9 intubation. There's a variety of ways that it comes
10 across that we all have to scrub for.

11 And then, just finally the -- the
12 movement of those things to the State level. If the
13 P.C.R. doesn't pass the State's schematron, it
14 doesn't get to the State, it doesn't get to REMSCO.
15 And so we're working through all that with the Data
16 Informatics team. They've been fantastic to work
17 with. And I'll take any questions.

18 **CHAIR RABRICH:** Thank you for the
19 report and -- and all the work on this. Does anyone
20 have questions about the project? Any thoughts on
21 how to kind of capture some of that data that seems
22 to be, you know, lost in transmission, so to speak?

23 **MR. VIOLANTE:** Yeah, I'll take any
24 suggestions on that.

25 **MR. WALTERS:** So I guess, how many --

Page 9

1 2/7/2024 - Medical Standards - Troy, New York
2 Standards.

3 And I guess that that's one of my
4 growing concerns is that, you know, a hundred
5 fourteen out of two thirty-nine, you're not even
6 getting half the -- the insertions or half the data
7 that's out there. So we're not even getting a full
8 picture.

9 I mean, I think the data does tell us
10 that this is a successful project and there aren't
11 any big significant complications, which is
12 excellent. But it is concerning that we're only
13 getting fifty percent of the data -- less than fifty
14 percent of the data.

15 And I think that -- that's something
16 that, collectively, SEMAC SEMSCO, Med Standards
17 everybody in this room, we need to do a better job
18 at. And -- and I don't know the answer to that, of
19 how we do that. But I think it's very, very telling
20 that, and -- and maybe it's something that the Bureau
21 of Data Informatics, Peter, I don't mean to put you
22 on the spot, but something we need to look at is why
23 are we not getting this data the way that we should.

24 This -- this team is looking at data
25 from not only -- there's a few ways that it goes in.

Page 11

1 2/7/2024 - Medical Standards - Troy, New York
2 how many instances of, you know, P.C.R. data not
3 going through the bridge and being received is there
4 out of these?

5 **MR. VIOLANTE:** So I'll -- I'll just
6 refer to this information from -- from last week's
7 report that came in. And we have two hundred and
8 thirty-nine insertions, a hundred and seventy-seven
9 made it to the State bridge chart. Out of those, a
10 hundred and fourteen made it to the State report that
11 comes to us. Two thirty-nine, one seventy-seven, one
12 fourteen.

13 **MR. WALTERS:** Okay. That's a lot of
14 missing data.

15 **MS. VIOLANTE:** Yeah. That's a --
16 that's a lot of missing data, indeed.

17 **MR. WALTERS:** Right.

18 **MR. VIOLANTE:** So as we look at -- and
19 I know there was some discussion at quality metrics
20 yesterday. And that, I -- I think, as we move
21 forward, we all know that we need to have good data
22 collection input from the providers, but also make
23 sure it's getting translated and correct and
24 collected appropriately so that we can then make
25 educated decisions as the SEMAC, SEMSCO, Med

Page 10

1 2/7/2024 - Medical Standards - Troy, New York
2 The region is looking for the P.C.R.s from the
3 agencies. There's a Drupal form that agencies fill
4 out and there's data that goes to the State.

5 And so when we look at all of those
6 variety of things, in some way a chart -- ninety-one
7 percent of the time a chart is available, it's
8 getting that chart and getting that information. So
9 yes, that is a concern.

10 **MS. MURPHY:** At this point,
11 (unintelligible) more than anything.

12 **MR. VIOLANTE:** Yeah. That's -- that
13 facet of this is something that sort of can broaden
14 out just from the i-Gel project to a number of other
15 things as well.

16 **MR. WALTERS:** Right. And -- and I
17 guess to that point, we're getting that data, which
18 is excellent. You guys have done a good job of
19 putting in some redundancies to get that data. But
20 if we were just to run metrics out of, you know,
21 image transfer, you know, and looking at the State
22 bridge stuff, we might be missing a lot of data.

23 And that's my -- my only concern or I
24 guess item for discussion.

25 **CHAIR RABRICH:** Yeah, it's good point.

Page 12

<p>800.523.7887 2-7-2024, Medical Standards Meeting Associated Reporters Int'l., Inc.</p> <p>1 2/7/2024 - Medical Standards - Troy, New York 2 So -- yeah, so anyone who has suggestions or ideas to 3 help capture some of that data, I -- I -- I think 4 they would be welcome, right? So -- oh, Dr. Dailey? 5 MR. DAILEY: So I'm going to actually 6 argue that this project has shown us something that's 7 -- that's extremely important and given us 8 information that we knew already existed, right, on - 9 - on both topics. 10 MS. MURPHY: It's validation, right? 11 MR. DAILEY: Yeah. So you've 12 demonstrated that it is possible to do a good data -- 13 data validation study and the data that we have in 14 spite of our best efforts and all of the work that 15 we're doing with these twelve different vendors that 16 we have across the State -- thirteen vendors, Peter? 17 MR. WALTERS: Seventeen. 18 MR. DAILEY: Seventeen vendors. I'm 19 sorry, is useless. The -- the bridge can't fix this. 20 This is too many different ways of documenting. As 21 you said, there's too many different languages that 22 are involved. 23 What we need is a group from the 24 SEMSCO with advisement from the SEMAC to make sure 25 that what we can do is come up with a plan moving</p> <p style="text-align: right;">Page 13</p> <p>ARII@courtsteno.com www.courtsteno.com</p>	<p>800.523.7887 2-7-2024, Medical Standards Meeting Associated Reporters Int'l., Inc.</p> <p>1 2/7/2024 - Medical Standards - Troy, New York 2 says this works, this is what's happening. And thank 3 God these guys are so, you know, persistent. They're 4 trying in all venues to get exactly what we need and 5 to answer the question. 6 MR. WINSLOW: It -- just as a -- a 7 short term solution, don't -- don't you require all 8 the agencies that do the insertion to send you the 9 P.C.R.? Like, they're -- they should just email it 10 to you as the administrator for it and then you have 11 the data, regardless of whether the bridge works or 12 not. 13 MR. VIOLANTE: Right. Yeah, we're 14 continuing to work on that. That piece of it as 15 well, to be able to have agencies send the identified 16 P.C.R.s up to the Hudson Valley Region, which is 17 great. And then, we're going to have to hire 18 somebody to read every P.C.R. to -- to get through 19 the data, which is one of the reasons we wanted to go 20 through the State bridge. 21 A) To ensure that we could get the 22 data, that it flows, that it was available and that 23 it's available for a lot of other things as well. 24 But to answer your question, yes. And we're 25 continuing to work on that so that we have all of the</p> <p style="text-align: right;">Page 15</p> <p>ARII@courtsteno.com www.courtsteno.com</p>
<p>800.523.7887 2-7-2024, Medical Standards Meeting Associated Reporters Int'l., Inc.</p> <p>1 2/7/2024 - Medical Standards - Troy, New York 2 forward for data in the State. Data needs to be 3 equally valid at the point that's it used and at the 4 point that's consumed. 5 And the information that we have to 6 make decisions as to what practice of medicine is 7 going to be across New York State has got to be spot 8 on. It can't be spotty. Right now, you're looking 9 at fifty percent of the data is garbage. That -- 10 that can't be. And to -- to your credit, the 11 validation you're doing is absolutely perfect. 12 So you know, what do we do, I say we 13 give a thumbs up to the i-Gel project, let that move 14 forward and go full steam ahead into a project that 15 will actually allow us to have, first, an update to 16 our policies around data. And second, ultimately an 17 answer for how we're going to manage data across the 18 State. 19 MS. MURPHY: And I think, you know, 20 what you bring up, Dr. Dailey is really important 21 that, you know, we are trying to bring forward a -- a 22 project to really advance a level of training, a 23 level of skill. And we run into this process that we 24 need to have the data to support what we want to do. 25 We need to have real good data that</p> <p style="text-align: right;">Page 14</p> <p>ARII@courtsteno.com www.courtsteno.com</p>	<p>800.523.7887 2-7-2024, Medical Standards Meeting Associated Reporters Int'l., Inc.</p> <p>1 2/7/2024 - Medical Standards - Troy, New York 2 data. It shouldn't have to go that process, though. 3 We shouldn't have to be -- we 4 shouldn't have to rely on paper in -- in the 5 electronic world that way. 6 MR. WINSLOW: Well, no you -- you're 7 not relying on paper as much as you're relying on the 8 agency to ensure that the managers of the program get 9 the information they need to do the data metrics. I 10 think if you rely on some of the issues with our data 11 transmission, I think you're missing fifty percent of 12 the data is unacceptable, I agree with the others. 13 Just require the agencies to send you 14 a copy. We get them electronically. They send it to 15 you by email. 16 MR. VIOLANTE: Right. Indeed. Yes. 17 CHAIR RABRICH: Thanks. I -- I just 18 wanted to give Ryan the opportunity to comment as 19 well on the -- around the data collection. 20 MR. GREENBERG: Good morning, 21 everyone. So there is one other thing that we are 22 going to try to think. Pete has been in 23 conversations with you as well. And that's related 24 to Biospatial. So we're going to put a trigger into 25 Biospatial to send to your email, Dave.</p> <p style="text-align: right;">Page 16</p> <p>ARII@courtsteno.com www.courtsteno.com</p>

1 2/7/2024 - Medical Standards - Troy, New York
 2 Anytime the i-Gel is being used, the
 3 problem that we're going to possibly have with it and
 4 what we don't know if we'll be able to filter down to
 5 is, will we be able to see anytime an E.M.T. uses an
 6 i-Gel or is it any time an i-Gel is used in the State
 7 and that -- that's just some limitations of the
 8 system.

9 So it could work really well and
 10 you'll get a trigger on something related to that or
 11 it could be too much information because we're not
 12 able to separate it out. And I think that will
 13 actually be able to -- I think we're working on the
 14 process at the moment.

15 And I think within the next month or
 16 so we'll be able to at least start sending some data
 17 to that. That will at least give you the trigger of
 18 where it's happening and when it's, you know, where
 19 it's being used. The next thing will be though is,
 20 you know, is it too much?

21 **MR. VIOLANTE:** So I like that idea and
 22 I think it's fantastic. I'm just -- we're just not -
 23 - all of us are trying to work on where that
 24 breakdown occurs because if the P.C.R. is not getting
 25 from the agency to the State, then I won't have the

1 2/7/2024 - Medical Standards - Troy, New York
 2 failures of E.P.C.R., it -- it just touches
 3 everything in my mind.

4 So I think that's where the rubber
 5 meets the road. I just did want to ask, what's the
 6 projected end date? And then, what is the next step
 7 to get this to the national scope of practice? I
 8 guess also, is there any other states doing similar
 9 things that we could partner with to make that
 10 happen?

11 **MS. MURPHY:** So we weren't given an
 12 end date initially. We were -- we were really
 13 looking at what would the findings be and how much of
 14 a participation across the State. Initially, we
 15 thought we were just going to do it in our region and
 16 then we opened it up to the whole State.

17 But I have to say, everyone was very
 18 enthusiastic about doing it. So to show, you know,
 19 people wanting to participate, it's tremendous. You
 20 know, I think that we really shouldn't stop it until
 21 we get good data. We have to leverage technology
 22 here. We -- these guys shouldn't have to scrub
 23 through charts to find out what happen to the
 24 patients.

25 And you know, God bless them all

1 2/7/2024 - Medical Standards - Troy, New York
 2 data whether it's on the State bridge or Biospatial
 3 or NEMESIS for that matter.

4 And so trying to figure out and really
 5 get the solution to getting the data from the agency
 6 to the State will help with all of this. And then,
 7 all these other programs will work really well. Once
 8 it's on the State bridge, it's -- it's perfect. We -
 9 - you know, we can get that down, it's fantastic.

10 But it's -- it's getting to that
 11 point, which ends up being a problem.

12 **MR. KUGLER:** So I think there's a real
 13 opportunity here and thank you for, first of all,
 14 starting this project. And then, being so passionate
 15 and seeing it through. I think it's probably one of
 16 the most exciting things for B.L.S. especially, as
 17 we've seen albuterol come, check and inject, and
 18 everything else, it's such a natural progression.

19 I think the bigger issue here is
 20 obviously data. The nuance is also, if it's not
 21 getting to the State, that means it's also not
 22 visible to the hospitals in Image Trend Viewer. So
 23 as we talk about truly integrating into healthcare
 24 and truly becoming the extension of the hospital that
 25 we always are and were, if -- if we don't address the

1 2/7/2024 - Medical Standards - Troy, New York
 2 because they keep doing it so far. But we have to,
 3 you know, say we're -- we want this to be successful.
 4 We want to determine, is it something that we should
 5 move along and push forward?

6 I like Dr. Dailey's comments, but I
 7 think, you know, we have to leverage technology and
 8 that's what this brought forward to us. It's like,
 9 wow, what else are we missing?

10 **CHAIR RABRICH:** Yeah. So -- so really
 11 great discussion. I -- I'm sorry, I didn't mean to
 12 cut you off, but we -- we -- did you want to add
 13 something?

14 **MR. VIOLANTE:** Yeah, no, I did want to
 15 answer your other question about going to a national
 16 scope. We've been in touch with E.S.O. from the
 17 beginning of this, who's done a lot of the national
 18 data crunching programs like this on correlating New
 19 York's data to their national data of this and what
 20 other states are doing and why they have on that.

21 And that's what we'll leverage to move
 22 towards a national skill.

23 **CHAIR RABRICH:** Thank you. Thank you
 24 for great -- great work and thank you for that
 25 report. And just to summarize, Dr. Dailey's comment,

1 2/7/2024 - Medical Standards - Troy, New York
2 I believe we heard a request for the SEMSCO chair to
3 possibly appoint a working group around data. So Dr.
4 McEvoy, so noted?

5 **MR. MCEVOY:** So noted. Thank you.

6 **CHAIR RABRICH:** Okay. Moving on to
7 our new business, we do have the collaborative
8 protocol, but I think it makes more sense to talk
9 about the alternative medication formulary and
10 hospice care protocols first that are in discussion
11 items because those will be incorporated into this.

12 So if we want to move to the
13 alternative medication formulary for drug shortages.
14 And then, we can open that up to discussion, if
15 anyone has anything. Dr. Cushman?

16 **MR. CUSHMAN:** Thank you, Dr. Rabrich.
17 Again, that medication formulary was previously
18 discussed amongst the protocol working group and
19 developed to be complementary to the -- the -- the
20 policy that was developed on the same title to
21 replace all the outdated stuff. So that, Dr. Winslow
22 took point on that.

23 We work collaboratively to make sure
24 that this medication formulary that would sit within
25 the collaborative protocols would be congruent with

1 2/7/2024 - Medical Standards - Troy, New York
2 that -- that did this again was reviewed and vetted
3 by the -- by the protocol group. And then, de-
4 conflicted with any other protocols within the
5 collaborative to address care of our -- of our
6 hospice patients.

7 This is just editorializing. This is
8 actually really super cool at least locally working
9 with some of my home hospice folks that are really
10 looking forward to the opportunity to be able to
11 partner with E.M.S. when we arrive on scene
12 inadvertently to the hospice care in their last few
13 hours when family's freaking out.

14 And now, actually having some guidance
15 on -- on how to fulfill the wishes of the -- of the
16 dying individual at that point in time, so kudos to
17 the team for -- for pulling that together. And
18 that's, again, for this body's approval.

19 **CHAIR RABRICH:** Thank you. Is there a
20 motion to approve this protocol?

21 **MR. CUSHMAN:** Cushman moves.

22 **CHAIR RABRICH:** Thank you. Second?

23 **MR. WINSLOW:** Second.

24 **CHAIR RABRICH:** Thank you. Discussion
25 on the protocol, anyone have any other discussion or

1 2/7/2024 - Medical Standards - Troy, New York
2 and would not have any conflict with the policy that
3 was approved by this body in, I believe, September of
4 last year. So that document is there for the body's
5 approval.

6 **CHAIR RABRICH:** Any other comments on
7 this? If not, we need a motion to approve this.

8 **MR. WINSLOW:** Motion to approve.

9 **CHAIR RABRICH:** Is there a second?

10 **MR. CUSHMAN:** Cushman second.

11 **CHAIR RABRICH:** Okay. Is there any
12 other discussion?

13 **MR. WINSLOW:** No, I just -- I just
14 would like to ask that the policy that was approved
15 in September of 2023 be finalized. It was sent to
16 the bureau in September. It should be able to be
17 posted on the website so that it can be live. Thank
18 you.

19 **CHAIR RABRICH:** All right. Let's vote
20 on this. Okay. All in favor of this protocol? Keep
21 them up. Okay. Anyone opposed? Any abstentions?
22 All right. Passes. Next, we'll go to the Hospice
23 Care Protocol. Which -- Dr. Cushman?

24 **MR. CUSHMAN:** Yeah. I -- I did not do
25 the work on this, but really appreciate those that --

1 2/7/2024 - Medical Standards - Troy, New York
2 comments?

3 **MR. DAILEY:** Just -- just to highlight
4 that, you know, this -- this actually came out of an
5 article that I -- that I reviewed. And you know,
6 honestly, I think the idea that this body's endorsing
7 the fact that it's important to support people at the
8 end of life and how E.M.S. can have a role in that
9 and giving some guidance.

10 It is more educational than most of
11 our protocols, but I think that's okay because this
12 is going to be a relatively rare occurrence. But I
13 think it's a fantastic opportunity for our paramedics
14 to assist those in the community in crisis as Dr.
15 Cushman says. So thank you.

16 **CHAIR RABRICH:** Thank you, Dr. Dailey.
17 Any other comments? Okay. All those in favor of
18 this protocol, please raise your hand. Okay. Anyone
19 opposed? Any abstentions? Vote passes unanimously.
20 All right. Now, let's go to the A.L.S. updates as a
21 whole.

22 I believe we've discussed a lot of
23 this previously, but is there any -- any additional
24 comments you -- the protocol group cares to make, Dr.
25 Cushman?

1 2/7/2024 - Medical Standards - Troy, New York
2 **MR. CUSHMAN:** Speaking first
3 specifically to the collaborative A.L.S. protocols,
4 there were some minor grammatical or little fixes
5 that were found through the -- through the review
6 process. I want to publicly thank Alex Kayser from
7 the -- from the department for all of his amazing
8 work in finding some of that minutia.

9 I know he wasn't alone, there were a
10 number of other bureau members that were involved in
11 that process that picked up a bunch of things that as
12 the good Dr. Fullagar recalls, you can look at this
13 a hundred thousand times, which I think I have. And
14 you still miss stuff on a pretty regular basis.

15 So the -- the -- the be all and end
16 all is that these protocols are -- are nearly
17 identical to that which was brought before this body
18 in September and approved at that time with the
19 addition of the two that we just moved. The
20 medication formula and the hospice care formula, and
21 there were no substantive changes to the medicine
22 throughout this document.

23 **CHAIR RABRICH:** Thank you. And thank
24 you to the group for all their -- their hard work.
25 Is there a motion to approve the collaborative A.L.S.

1 2/7/2024 - Medical Standards - Troy, New York
2 (unintelligible) in -- in looking to each of these
3 and going through it.

4 But not only changing there, but
5 making a change log. And so that was, you know,
6 really important and going forward we're going to set
7 the standard that any protocol update and hopefully
8 from New York City or the collaborative, so it's
9 consistent for all providers have a change log
10 associated with it when it's submitted, even if it's
11 simple.

12 Because it is one of the things that
13 we are -- get asked for, what was changed. Yes,
14 there was a protocol update, there was this, there
15 was that. But can, you know, can someone break down
16 for me? Particularly with the collaborative
17 protocols, there was a lot done. The change log is
18 even more important.

19 I think it, you know, might be less if
20 it's, you know, shorter documents and things like
21 that that come up from the city where sometimes it's
22 smaller. But some sort of change log or something
23 that shows for the provider who turns and says, well,
24 do I need to sit with two protocols side by side or
25 can I, you know, have something that's a little bit

1 2/7/2024 - Medical Standards - Troy, New York
2 protocols?

3 **MR. WINSLOW:** Motion to approve.
4 **CHAIR RABRICH:** Thank you. Second?
5 **MR. CUSHMAN:** Cushman second.
6 **MS. BOMBARD:** Bombard second.

7 **CHAIR RABRICH:** Thank you. Any
8 further discussion on these protocols?

9 **MR. WINSLOW:** I just want to thank
10 Jeremy. It was -- it was a lion's task and you did a
11 great job. I think all the changes are appropriate.
12 And is -- is important to go through these
13 periodically in once a year just to find those
14 little, small, grammatical or otherwise, you know,
15 nuances that really make protocols work when the
16 provider has them in front of them when they're
17 taking care of a patients.

18 So I just want to say thank you to
19 Jeremy and I approve them all.

20 **MR. GREENBERG:** So just something that
21 -- that the bureau has been working with Jeremy and
22 his team on for the past several months, it's been a
23 lot of fun. But you know, I -- to the bureau staff
24 who -- who helps with this one Gena and Steve Buccato
25 and Alex Cusar and several others all with

1 2/7/2024 - Medical Standards - Troy, New York
2 easier to know what changed.

3 So there is an associated change log
4 that will go with these. We've all seen it. It's
5 attached to the documents. There's one for the
6 B.L.S. as well, which I think we're going to talk
7 about shortly. But if we can set that as a standard
8 going forward, we'll be working on our part with
9 Jeremy to assist him as -- as that happens as well.

10 So thank you for that one too. But
11 for those who are looking for what changed, you can
12 refer to the change log.

13 **CHAIR RABRICH:** Thank you. Any other
14 discussion on this?

15 **MR. DOYNOW:** One question has been
16 asked. When are we going to implement the changes?
17 When will it go into effect?

18 **MR. :** July.

19 **MR. DOYNOW:** July 1.

20 **MR. MCEVOY:** So it should be July 1.
21 But that also was based on it being voted on -- in
22 the December meeting, which is what I believe the
23 protocol -- you know, the policy statement is that it
24 votes on it. Protocols are voted on now once a year
25 at the December meeting, but there wasn't a December

1 2/7/2024 - Medical Standards - Troy, New York
2 meeting due to a lack of a quorum.

3 So I believe that the time period
4 would still be the same, although I think it some
5 reason to have the issues with --.

6 **MR. WINSLOW:** No, I think that the
7 changes are -- are not substantial enough to delay
8 the July 1 update list. I -- I think we can do it by
9 July 1.

10 **CHAIR RABRICH:** Yeah, I would agree.
11 I think most people would want to stick with the July
12 1. I don't know. Do I hear any dissent? I don't
13 see any dissent -- Dr. Dailey.

14 **MR. DAILEY:** Sorry, I just did two
15 things really quickly that -- that I'd just like to
16 point out. The first is that the addition of
17 antibiotics for open fractures incredibly important,
18 something that STAC has been talking about, excited
19 that that's now going to be an opportunity and
20 exactly the right thing for us to do.

21 But the other one that I think is
22 incredibly important, given the -- the news that we
23 have seen over the course of the last, you know, six
24 months since the events in Aurora, Colorado, is
25 endorsement within our protocol to make sure that

1 2/7/2024 - Medical Standards - Troy, New York
2 happy to restate the motion that the SEMAC approves
3 the A.L.S. Collaborative Protocols for implementation
4 by regions no later than July 1, 2024.

5 **CHAIR RABRICH:** Thank you. And is
6 there still a second for that?

7 **MR. WINSLOW:** Second.

8 **CHAIR RABRICH:** Okay. Second. In the
9 absence of any other discussion, I don't see any.
10 All those in favor, raise your hand. Okay. Any
11 opposed? Any abstentions? All right. Thank you.
12 That passes unanimously.

13 **MR. GREENBERG:** So if this is approved
14 in SEMAC and SEMSCO obviously today we will post them
15 next week or so up on to the website with it below
16 saying these are being implemented on this date, but
17 they'll be up there with the change logs as well.

18 **CHAIR RABRICH:** All right. Thank you.
19 Our next agenda item is the New York City protocol
20 changes. So there are three protocols in there that
21 you'll see there's the anaphylaxis, there's
22 childbirth, and then there's a vaccine protocol. We
23 can go through them one -- one by one or just let's
24 discuss them as a group.

25 We'll start with anaphylaxis. Any

1 2/7/2024 - Medical Standards - Troy, New York
2 indeed we're using waveform capnography as soon as
3 practical in agitated patients who get sedation.

4 And while we didn't discuss all of the
5 changes in depth, those are the levels that -- that
6 these changes have gone to, to make sure that indeed
7 we're looking at what's happening nationally. That
8 we're looking on what opportunities we can partner
9 with our additional advisory bodies on in order to
10 make sure that the medicine for E.M.S. continues to
11 advance.

12 So the education from here that goes
13 to the regions, the agencies is going to be important
14 because we have to make sure it is also brought with
15 that same level of -- of -- of intensity.

16 **CHAIR RABRICH:** Thank you. All right.
17 Now that we've had discussion and we've decided on
18 the -- sorry.

19 **MR. MCEVOY:** In -- in light of that,
20 do -- because you're a little off kilter, do you want
21 to include in your motion to stay with the planned
22 implementation date?

23 **CHAIR RABRICH:** Sure. You go with
24 that in the original motion.

25 **MR. CUSHMAN:** I'll -- I'll -- I'll be

1 2/7/2024 - Medical Standards - Troy, New York
2 comments or questions or concerns regarding the
3 anaphylaxis protocol? I think most of the language
4 there was to clean up the definition of being in
5 anaphylaxis, and then the addition of ipratropium
6 with the albuterol. Okay. The changes to the
7 childbirth protocol. I mean, childbirth Dr. Isaacs,
8 did you want to comment on this at all or?

9 **MR. ISAACS:** Sure. So the initial
10 E.M.S. Chief standards in 2021 had changed it to an
11 E.N.T. level skill that nuchal cord is part of an
12 abnormal delivery and previously had been E.M.R.
13 level. And so we feel locally that this is a need
14 for us.

15 We believe that's the reduction of
16 nuchal cord is a critical step in a normal delivery.
17 Looking our data since 2019, C.F.R. arrives on scene
18 and has to manage a nuchal cord about three times a
19 year prior to the B.L.S. and A.L.S. arrival. So we
20 have continued to taught our C.F.R.s the practice and
21 protocol. And now, we're bringing this up here.

22 **CHAIR RABRICH:** Thank you. Any
23 comments or questions on the childbirth protocol?
24 The -- the last protocol in the packet is the vaccine
25 administration protocol. Okay. Any -- is there any

1 2/7/2024 - Medical Standards - Troy, New York
2 motion to approve the New York City protocols?

3 **MR. CUSHMAN:** Cushman so moved.

4 **MR. WINSLOW:** Second.

5 **CHAIR RABRICH:** And a second.

6 Discussion -- further discussion on the protocols?
7 Boy, no one has much to say today. All right. If
8 there's no other discussion, all those in favor of
9 these protocol changes, raise your hand. Okay. Any
10 opposed? Abstentions? Okay. It passes.

11 The next item is the B.L.S. protocol
12 update. Any discussions on the B.L.S. protocol or
13 comments?

14 **MR. CUSHMAN:** Again, the document is
15 nearly identical to that which was brought forth to
16 this body in September with, again, the notable
17 change of the hospice protocol which -- which does
18 engage our -- our E.M.T. partners. And the
19 medication formulary is restricted just to those
20 medications that are within the scope of the E.M.T.

21 And again, kudos to Alex and the team
22 for that change log because they made look really
23 nice a lot of random notes for me.

24 **CHAIR RABRICH:** Thank you. Is there a
25 motion to approve these with the implementation date?

Page 33

1 2/7/2024 - Medical Standards - Troy, New York
2 a physician as well.

3 So there's some -- a little debate
4 that we had on whether this falls under the
5 prescription medication assistance existing resource
6 within the collaboratives or not, which is kind of
7 open to this group in terms of to interpret whether
8 this falls under that and we don't even need
9 approval.

10 But either way, I think it's an -- an
11 opportunity to discuss. But we did vote and approve
12 it unanimously at the Westchester REMAC. And so I'm
13 supportive of this effort.

14 **CHAIR RABRICH:** Thank you. Other
15 discussion on this antibiotic protocol? Yes, Dr.
16 Cushman.

17 **MR. CUSHMAN:** You know, this -- this
18 brought up, I think, a really important discussion
19 for this -- for this body to further discuss because,
20 you know, fundamentally what we're -- I -- I think
21 it's important to consider the precedent that we may
22 set related to the practice of community paramedicine
23 versus the practice of, if you will, nine one one
24 emergency response.

25 Traditionally, our protocols have

Page 35

1 2/7/2024 - Medical Standards - Troy, New York

2 **MR. CUSHMAN:** So moved.

3 **MR. KUGLER:** Second.

4 **CHAIR RABRICH:** Okay. Any further
5 discussion on the B.L.S. protocol update? All right.
6 All those in favor of the B.L.S. protocols updates,
7 raise your hand. Any opposed? Any abstentions?
8 Okay. Also carries unanimously.

9 All right. Some other discussion
10 items, there is a, it's -- it's -- somehow it's not
11 on the agenda, but you received the -- the Scarsdale
12 VAC protocol, the antibiotic protocol. So I wanted
13 that open up to discussion. And Dr. Berkowitz, if
14 you want to start the discussion?

15 **MR. BERKOWITZ:** Yeah, so the
16 Westchester REMAC approved a pilot program in our
17 region for this -- this agency to carry and use
18 antibiotics in their population. They have a lot of
19 patients who they respond to, that they -- that they
20 believe this would help.

21 Similar to what Dr. Dailey said, we
22 think there's opportunities in pre-hospital with
23 being able to carry and -- and utilize antibiotics.
24 Their program is focused on patients who -- there
25 would -- there would be a prescription or an order by

Page 34

1 2/7/2024 - Medical Standards - Troy, New York

2 addressed non-specific patient orders for the
3 direction of patient care by E.M.S. personnel in the
4 field as a result of a non-scheduled emergency visit.
5 And as a result, this body has always, at least
6 around that I have been, Dr. Marshall may recall
7 previous to that, but he's been smiling too much the
8 entire time.

9 I've never seen him smile so much at a
10 -- at a Med Standards meeting. That -- that this
11 body has always been very, very cautious and somewhat
12 conservative, and appropriately so, in what
13 medications, what indications, for what reasons via
14 what mode and so forth given the tremendously
15 heterogeneous population that we serve.

16 Move forward, we had the opportunity
17 with vaccinations which propelled paramedicine into
18 really the world of -- of more so real community
19 paramedicine for very, very good reasons. Also, non-
20 specific patient administrations done under the
21 oversight of a physician, health commissioner,
22 whatever it happened to be for a -- a specific cause
23 and event.

24 At that time, you will notice, there
25 is not a protocol in the B.L.S. protocols, the

Page 36

1 2/7/2024 - Medical Standards - Troy, New York
2 collaborative protocols, or whatnot related to the
3 administration of vaccines because that is not
4 generally it's -- it's been consistent with our
5 approach.

6 So -- so the discussion that my
7 colleague and I have been -- have been having is --
8 is, as we move forward with community paramedicine
9 initiatives, is the placement of a protocol for the
10 administration of generally patient-specific targeted
11 interventions that are within the scope of the
12 provider that is delivering that intervention
13 appropriate for A set of protocols.

14 My -- Jeremy's opinion is that I
15 really don't like putting it in a Statewide
16 collaborative protocol. From a community
17 paramedicine program perspective, if you've seen a
18 community paramedicine program, you've seen a
19 community paramedicine program. One size fits one
20 has physician oversight in this protocol.

21 As -- as I understand it, this is
22 antibiotic administration for very specific
23 identified critical conditions. And we're not
24 expecting that the paramedic is going to determine
25 which antibiotic to give. They are being told,

1 2/7/2024 - Medical Standards - Troy, New York
2 protocol that was just approved by this body which is
3 frankly just updated, it's always been there, you
4 know, very, very clearly says, right? Administration
5 of any patient's prescribed medication for the
6 condition it is prescribed for using a route of
7 administration within the practitioner's scope of
8 practice.

9 To me, that falls under here. And so
10 if a C.P. program as -- as managed and approved by
11 the Bureau in whatever regulatory structure exists
12 there is certainly required to have the physician
13 oversight all of the other stuff that is requisite
14 for that.

15 As long as the things that they are
16 doing are within the scope as defined within our --
17 our protocols, routes of administration, stuff like
18 that. I mean, I don't think you're doing intrathecal
19 injections any time soon.

20 To me -- touché, touché. Very well.
21 Again, that -- that's why I think we should have this
22 discussion and identify some path forward because the
23 direction that we go will -- will set us in different
24 ways So that's where I'm coming from.

CHAIR RABRICH: Thank you.

1 2/7/2024 - Medical Standards - Troy, New York
2 you're going to give Cushman a couple grams of Vanc
3 because of whatever I have that week, right?

4 And so they are simply the vehicle for
5 that delivery, not, if you will, cognitively making
6 the determination as to, does Jeremy get this
7 antibiotic for that. And so -- so you know, as -- as
8 we move forward with this in legislation pending and
9 so on and so forth, I -- I worry about frankly
10 setting the precedent, to be clear, I fully support
11 this program.

12 And I think my colleague understands
13 that me bringing this up is not because I don't
14 support it, I absolutely do. But we will continue to
15 have this issue of -- of frankly delaying the
16 evolution of community paramedicine in the State of
17 New York if we have to bring every medication, which
18 is frankly ridiculous, right?

19 As it is right now, we have paramedics
20 managing antibiotics every day on an inter facility
21 transport. We have them managing a heparin drip
22 every day. That's not in our protocols at all, but
23 they are doing it because the route and method of
24 administration is within their -- their scope.

The prescribed medication assistance

1 2/7/2024 - Medical Standards - Troy, New York
2 **MR. GREENBERG:** So I guess the first
3 question is, and this is to Jeff Rabrich. Is it for
4 the C.P. program or is it for outside of the C.P.
5 program?

6 **MR. HUDSON:** As Don said, yes. I
7 think it would be utilized -- potentially be utilized
8 by both. Although, I think the biggest use is going
9 to be within C.P. And I think that what Jeremy is
10 saying is -- is -- is reminiscent of the discussion
11 we had many moons ago when we were presented with
12 interfacility protocols from a region and this body
13 said these are fantastic protocols.

14 We fully support, but we are not going
15 to vote on them, if I remember correctly because this
16 -- this is not what we vote on. And it's kind of
17 advanced scope paramedicine and we want that to
18 flourish with minimal -- without -- without burden.
19 Is that accurate, Jeremy, from, you know --

MR. CUSHMAN: As -- as --.

MR. HUDSON: -- an -- as an analogy?

20 **MR. CUSHMAN:** Yeah. In our facility,
21 I think there's -- I don't want to open that can of
22 worms. But I think there's still the big question as
23 to is that actually under Article 30 anyway, because
24
25

<p>800.523.7887 2-7-2024, Medical Standards Meeting Associated Reporters Int'l., Inc.</p> <p>1 2/7/2024 - Medical Standards - Troy, New York 2 these are Article 28 transports. But -- but -- but I 3 think similarly. 4 I -- I think, you know, we -- we look 5 at the advancement of, if you will, nine one one 6 E.M.S. medicine and the addition of I.V. antibiotics 7 or oral antibiotics for very specific indications -- 8 a very specific medication for a very specific 9 indication of a very specific circumstance that this 10 body, I think we all agree, is the right way to go. 11 I would offer that if it doesn't exist 12 in here, then it -- it -- it -- it can't be given 13 just as a method of routine nine one one work, right? 14 It would -- it would have to be as part of a 15 community paramedicine program. 16 If we get to the point, if -- if this 17 body eventually believes, just -- we should be giving 18 antibiotics for -- for undifferentiated sepsis, then 19 we need to have the conversation of what does that 20 look like and what antibiotics do we feel are safe, 21 appropriate, and indicated in those circumstances. 22 MR. HUDSON: Thanks. So just one -- 23 one quick thing. You know, under the -- the nice 24 thing about, you know, using the -- the prescribed 25 medication assistance protocol is that, it requires</p> <p style="text-align: right;">Page 41</p> <p>ARIH@courtsteno.com www.courtsteno.com</p>	<p>800.523.7887 2-7-2024, Medical Standards Meeting Associated Reporters Int'l., Inc.</p> <p>1 2/7/2024 - Medical Standards - Troy, New York 2 MR. HUDSON: So I -- I think it's -- 3 it's -- it's -- it's an open discussion and I think 4 that, you know, I'd like to -- I think my point is. 5 CHAIR RABRICH: Thanks. I mean, Dr. 6 Olsson first, and then, Dr. Dailey. 7 MR. OLSSON: Thank you. I'm still 8 trying to figure out what I was trying to figure out 9 to ask. The word vertical has me a little 10 disoriented because I don't think it's going to fit 11 into the collaboratives. 12 It might fit under a community 13 paramedicine protocol, but maybe that should have a 14 separate term. If it does include or use the term 15 protocol, then what I certainly have come to know as 16 our protocols, then all of these medications would 17 need to be in the State formulary or E.M.S. 18 formulary, I would guess. 19 So I -- I agree with what's been said, 20 I think it's an interesting concept. But it just 21 doesn't seem to fit into what our normal nine one one 22 day in the life of a paramedic is. And I -- I think 23 that for me personally that separation needs to be a 24 little more clear than it is right now. So thanks. 25 CHAIR RABRICH: Thank you. Dr.</p> <p style="text-align: right;">Page 43</p> <p>ARIH@courtsteno.com www.courtsteno.com</p>
<p>800.523.7887 2-7-2024, Medical Standards Meeting Associated Reporters Int'l., Inc.</p> <p>1 2/7/2024 - Medical Standards - Troy, New York 2 essentially a physician order or prescription. Which 3 means, this -- which is as to Jeremy's point, is very 4 different. 5 And so we're -- we're in a different 6 world right now where, you know, paramedics are 7 taking care of patients where doctors are having 8 visits with the patient around the same time in -- 9 documenting in their E.M.R. 10 Putting notes in their -- putting 11 notes and orders in their E.M.R., which is very 12 different than the -- the -- the kind of what -- the 13 -- the undifferentiated part of -- of E.M.S. So you 14 know, I am comfortable if -- if -- if this body were 15 to say, we're supportive and you can use this -- you 16 can use this -- this protocol. 17 I do think that with one of the 18 questions then becomes, what about the carrying? Is 19 that an issue that -- that -- that there's going to 20 be an ambulance that's going to have, let's say, that 21 there's two grams of vancomycin on -- on it. 22 Again, I think there are some 23 analogies on the inter facility side that are 24 worthwhile. Well, vaccines, right? 25 MR. CUSHMAN: Yeah.</p> <p style="text-align: right;">Page 42</p> <p>ARIH@courtsteno.com www.courtsteno.com</p>	<p>800.523.7887 2-7-2024, Medical Standards Meeting Associated Reporters Int'l., Inc.</p> <p>1 2/7/2024 - Medical Standards - Troy, New York 2 Dailey. 3 MR. DAILEY: I think there's a couple 4 of different things that are really important with 5 this. The first is, that as a community paramedicine 6 program, this acts under direct oversight from a 7 physician, right? 8 And I think that making sure that we 9 maintain that line and that there isn't a screep, you 10 know, some type of -- of weird scope creep into 11 randomly treating people who we think might have 12 something which quite frankly terrifies me. 13 Particularly, since we won't know 14 where it fits into the documentation, and ultimately 15 how we're going to provide any oversight to it on a 16 broad basis. The other thing I think is really 17 important to remember is that community paramedicine 18 is something that's developed to fill voids in care. 19 You know, there is certainly going to 20 be concern from the education department and from the 21 board of nursing in terms of where this falls, in 22 terms of where a nursing scope lands. And I can see 23 that as a -- as reasonable, but at the same time, we 24 have some crossover between the two. 25 And honestly, I see things</p> <p style="text-align: right;">Page 44</p> <p>ARIH@courtsteno.com www.courtsteno.com</p>

1 2/7/2024 - Medical Standards - Troy, New York
2 particularly like the visiting nurses and community
3 paramedicine as being two hands fitting into gloves
4 in order to make sure that that patient gets adequate
5 care and can stay in the home when possible.

6 I think we have to make sure that
7 we're endorsing those relationships, supporting those
8 so we can get the, if you will, the biggest bang for
9 the buck and the best patient care we can.

10 **CHAIR RABRICH:** Thank you. Other
11 comments? Yes.

12 **MS. BOMBARD:** Dr. Olsson, would you be
13 more comfortable with this if it was called a
14 guideline instead of a protocol? Is it the protocol
15 word that's --?

16 **MR. OLSSON:** I can't say what I'd be
17 more comfortable with other than not a protocol, so
18 you know. And -- and again, I don't -- it's just --
19 just one of those funny feelings I get, that just
20 doesn't seem like it meshes with what we're talking
21 about for community paramedicine's standard of care
22 for routine and exceptional antibiotic administration
23 in extreme cases of potentially questionable sepsis.

24 **MS. BOMBARD:** That's a lot.

25 **MR. OLSSON:** You can abbreviate it,

1 2/7/2024 - Medical Standards - Troy, New York
2 world as approved as of May 10th, 2023 when it became
3 legislation. So what they were approved to do on
4 that day is what they're permitted to do today.

5 And unfortunately, there's not that
6 pathway to expand even though the bureau would love
7 to see certain things expand. So I don't know if
8 that helps our decision or not on -- on things like
9 that. I think, you know, I think there's an
10 opportunity for a pilot program, absolutely.

11 I think, you know, if this pilot
12 program maybe is for low acuity situations that that
13 would be here, and I would think that that would be
14 something that would be passed from this group as,
15 you know, a protocol for a pilot program that is a
16 low acuity response.

17 If it is for the community
18 paramedicine, I think everybody here can opine on it,
19 but I don't know that we can change it just based on
20 the legislation and the way that it was passed. But
21 I would encourage you to opine on it and -- and maybe
22 even, you know, voice support or something else.

23 I think that's important too because
24 then we can bring that back and further discuss it,
25 you know, up with the commissioner's office and

1 2/7/2024 - Medical Standards - Troy, New York
2 I'm sure it'll condense down. No, I -- I don't --
3 it's just -- it's just me.

4 **MS. BOMBARD:** For what it's worth, I -
5 - I agree. I think that community paramedicine can
6 be governed by the local purveyor of community
7 paramedicine. I don't know that, that's something
8 that we need to get involved in here.

9 **MR. GREENBERG:** So this is part of the
10 dilemma that's up at the moment. Is this something
11 that is done on a low acuity emergency call or a low
12 acuity incident? In which case I would say, it's a
13 protocol. If it is something related to community
14 paramedicine, then you'd probably say it's a
15 guideline.

16 However, I will also say the issue
17 that we are dealing with in the bureau is we have
18 many great community paramedicine pilot programs that
19 are out there that are doing wonderful things. Some
20 of which have asked to change things or do more or do
21 less or things like that. Actually, doing less is
22 easier. We can -- we can say, you can do less.

23 There's no obligation for you to do
24 something. But doing more becomes a little bit more
25 problematic because it is in community paramedicine

1 2/7/2024 - Medical Standards - Troy, New York
2 things like that. If this is, you know, where we're
3 trying to go or where-- not we, but where programs
4 are trying to go and what's trying to come out of it
5 as well.

6 So there -- there could even be two
7 different, you know, kind of options on that and
8 maybe even to take both in order to try and advance
9 this and move that forward.

10 **CHAIR RABRICH:** Thanks. Don?

11 **MR. DUVALL JR.:** I -- I have to agree
12 with Dr. Cushman's thoughts. As -- as community
13 paramedicine evolves and as we're seeing this rapid
14 expansion, I'm -- I'm reminded of something I read at
15 the beginning of community paramedicine growth and
16 the gist is the best way to stifle growth of a new
17 program or an evolution is to over regulate it.

18 And I think what Dr. Cushman says is
19 reasonable. And I think when you don't have a
20 specific medication for a specific problem, but you
21 do have robust physician oversight in your community
22 paramedicine program. We need to let those programs
23 grow without the restriction of a protocol that may
24 be updated once a year.

25 And we need to let community

<p>800.523.7887 2-7-2024, Medical Standards Meeting Associated Reporters Int'l., Inc.</p> <p>1 2/7/2024 - Medical Standards - Troy, New York 2 paramedicine grow, encourage that growth, foster that 3 growth within the bounds of the law which it sounds 4 like we can do with assisted medication 5 administration. It sounds like the only issue is 6 figuring out how to carry the meds outside of the 7 formulary. 8 But that seems like a relatively easy 9 lift if the provider carried a card or a document 10 from the physician oversight that authorized them to 11 maintain a supply of those medications. And then, 12 they can change them week to week or month to month 13 based on what they determine the needs of the 14 community are and what their role is in providing 15 that care to communities. 16 So don't -- I encourage you, from a 17 field provider's perspective, don't seek to over 18 regulate something that we're still not sure what 19 it's going to be. 20 CHAIR RABRICH: Thanks. Dr. -- Dr. 21 Cooper. 22 MR. COOPER: Thank you. And 23 congratulations on your ascension to the Marshall 24 Chair of Medical Standardism. You know, one point 25 that I -- that I think infrequently or I'm sorry,</p> <p style="text-align: right;">Page 49</p> <p>ARII@courtsteno.com www.courtsteno.com</p>	<p>800.523.7887 2-7-2024, Medical Standards Meeting Associated Reporters Int'l., Inc.</p> <p>1 2/7/2024 - Medical Standards - Troy, New York 2 more, you know, novel treatments are introduced into 3 the paramedic scope of practice, that we collectively 4 SEMAC, SEMSCO and the Bureau have a -- really a very 5 sacred responsibility to ensure that the scope of 6 education matches what's being, you know, what's 7 being asked. 8 It's not as simple as saying, well, 9 you know, they're -- paramedic is just being asked to 10 give a medication by a route which -- with which he 11 or she is familiar based on, you know, current scope 12 of practice. You know, recognition of, you know, the 13 indications, contraindications, side effects, et 14 cetera, of the medications involved. 15 For example, a treatments involved is 16 absolutely critically important. That's why, you 17 know, the physicians anywhere -- anywhere around this 18 table go to medical school and do residencies, right? 19 And that you know, we all understand the need to 20 ensure that community paramedicine continues to grow 21 because the fact of the matter is that there are not 22 enough physicians or visiting nurses out there to 23 accomplish the task of, you know, the low acuity 24 responses that Director Greenberg was -- was 25 referring to a few moments ago.</p> <p style="text-align: right;">Page 51</p> <p>ARII@courtsteno.com www.courtsteno.com</p>
<p>800.523.7887 2-7-2024, Medical Standards Meeting Associated Reporters Int'l., Inc.</p> <p>1 2/7/2024 - Medical Standards - Troy, New York 2 frequently gets lost in this discussion is the -- is 3 the issue of scope of education. And we often speak 4 of scope of practice, you know, as driving education. 5 But the two are served mutually, you 6 know, should we say very closely linked. As long as 7 under our laws and regulations the SEMAC has a role 8 in -- in ensuring together with SEMSCO an appropriate 9 educational base for our paramedics who in fact are 10 credentialed under Article 30, which falls within our 11 bailiwick. 12 It does seem to me that we have a 13 responsibility to ensure that the scope of education 14 matches the, you know, the scope of practice that is 15 being advocated. Whether we're speaking about, you 16 know, a nine one one response or whether we're 17 speaking about community paramedicine. I don't think 18 that's been well worked out. 19 I'm not arguing that there needs to be 20 absolute and complete uniformity or standardization 21 across every community paramedic program. That's -- 22 that's not the -- the -- I -- I don't think that 23 would be in anyone's best interest at the present 24 time. 25 But -- but I do think that as more and</p> <p style="text-align: right;">Page 50</p> <p>ARII@courtsteno.com www.courtsteno.com</p>	<p>800.523.7887 2-7-2024, Medical Standards Meeting Associated Reporters Int'l., Inc.</p> <p>1 2/7/2024 - Medical Standards - Troy, New York 2 But I -- I just urge that we tread 3 very, very carefully in this area and pay maybe a 4 little bit more attention to scope of education than 5 we have in -- in the past as community paramedicine 6 continues to roll out Statewide. Thank you. 7 CHAIR RABRICH: Thank you. Yeah. I - 8 - if I'm hearing your comments correctly, it sounds 9 like this may be right for a -- a working group to 10 kind of look at this and -- because it keeps coming 11 up with community paramedicine and --. 12 MR. COOPER: That's not my call. 13 CHAIR RABRICH: No, I know. But that 14 -- that's what I'm getting out of some of your 15 comments. I don't know if you -- I -- I think maybe 16 before setting up a working group, maybe we can talk 17 between this meeting and next meeting. 18 MR. COOPER: Yes. 19 CHAIR RABRICH: And -- and maybe plan 20 out that and determine if a working group is -- is 21 needed for that. But it -- but it might be. And I'm 22 definitely, you know, on that front, you know, 23 related to -- well, I guess we'll just -- I'll leave 24 it that. But I definitely understand where you're 25 coming from.</p> <p style="text-align: right;">Page 52</p> <p>ARII@courtsteno.com www.courtsteno.com</p>

1 2/7/2024 - Medical Standards - Troy, New York
2 I think one of the things that's
3 important too is to talk about, and Jeremy, it -- it
4 came up, and as well as about the protocols of, you
5 know, roles and responsibilities of the SEMSCO and --
6 and things like that. And the opportunity from some
7 changes that occurred last year with, you know,
8 moving from just being for emergencies and -- and
9 expanding beyond that.

10 Which, you know, what we saw in
11 legislative change last year. So you know, it also
12 might be the right time to sit and talk about that,
13 you know, and maybe there is a set of non-emergency
14 guidelines as opposed to protocols.

15 Because I do feel that the world of
16 non-emergency lives in a, a different environment
17 often with one with, you know, when we talk about
18 inter facility transports or critical care transports
19 and things of that nature. They are going under a
20 doctor's order. They -- they're not going under a
21 medication that's come out of nowhere.

22 It's very specific to what it is.
23 This committee has done amazing work over many years
24 and I think, you know, it's going to continue to
25 grow. But now it might also be the point to where,

1 2/7/2024 - Medical Standards - Troy, New York
2 underneath the inter facility transport one. And
3 then, you can leave it to the regional community
4 paramedicine and medicine programs and their medical
5 oversight what they wish to put in there.

6 **MR. GREENBERG:** So I'll remind you
7 there are two very separate things. Community
8 paramedicine is one thing, kind of sits over here.
9 It's a pilot program, has very specific legislation
10 that goes to it. Very specific, you know,
11 requirements to it.

12 On the other side is emergency,
13 whether that be high acuity, high stakes, high fill
14 in the blank or low acuity. That's on this side that
15 -- you know. And if you're saying it should be in
16 there as a guideline and setting, you know, different
17 portion and appendix to be part of the medicated
18 assisted protocol, which is in there today, that's
19 fine. But then, that's sit on this side.

20 The paramedicine is on that side. And
21 I understand we're in a time where some things are
22 blurred. And that's okay. I think that goes back to
23 down the side of, you know, of as we advance as a
24 profession, some of that's going to happen. And for
25 me, don't over regulate. I agree. I -- I understand

1 2/7/2024 - Medical Standards - Troy, New York
2 you know, there is a set of guidelines that comes out
3 for the not -- non-emergency for the critical care
4 side of things.

5 Again, guidelines that I would
6 probably even like to say is out there today, but
7 maybe came in front but wasn't voted on in the past
8 or other situations of that. So timing wise of that,
9 you know, we could be sitting at that. And that
10 might be the right time.

11 **MR. COOPER:** Yeah.
12 **CHAIR RABRICH:** And it might answer
13 some of these other questions.

14 **MR. COOPER:** (unintelligible).
15 **CHAIR RABRICH:** Yes, because it keeps
16 coming up. Dr. Winslow.

17 **MR. WINSLOW:** Yeah. In -- in our
18 regional REMAC, we don't approve inter facility
19 transport protocols. In the four years I've been in
20 SEMAC, we don't approve inter facility transport
21 protocols. This kind of fits more like that and
22 belongs in like the resources section of the
23 collaborative protocol document.

24 Maybe it has like a community
25 paramedicine bullet and then guidelines there just

1 2/7/2024 - Medical Standards - Troy, New York
2 where you're coming from.

3 But that also doesn't mean that we can
4 not look at the things that are in place and not put
5 the -- look at the legislation that is in place. But
6 in areas that possibly offer opportunity for a little
7 bit more gray, then there's opportunity for that.

8 In areas where there's not, we need to
9 sit by this. One of the other things that will come
10 up is, you know, just related to, you know, what's
11 permitted or what's not and obviously, but we'll get
12 to that.

13 **CHAIR RABRICH:** Don.
14 **MR. HUDSON:** So as a non-physician, I
15 would never suggest that we're over thinking this,
16 but I like Dr. Cushman's example of heparin. I mean,
17 we've had it on the ambulances in some regard for
18 decades and it's not that we've looked the other way,
19 but it was sort of assumed that this is not a purview
20 of, you know, quote, unquote, emergency ambulance
21 operations.

22 I think, if I'm hearing everybody
23 correctly, we all agree that as we transition and,
24 you know, weave our way through this gray world as
25 E.M.S. evolves into other non-traditional E.M.S.

1 2/7/2024 - Medical Standards - Troy, New York
 2 roles, which is a great thing, that this is an
 3 instance where we want awareness of what's going on
 4 without needing to give the endorsement of what's
 5 going on.
 6 So I would say, is this a case where
 7 we want the local REMAC to know without making it a
 8 protocol or endorsing it and bringing that to the
 9 SEMAC again for awareness and let each level then
 10 decide on a case by case basis, nope, this is okay,
 11 keep doing what you're doing as long as the
 12 education's there and the equipment's there and
 13 everything's there, good job.
 14 Versus, no, we're going to need to
 15 vote on this one. This one's going to have to be a
 16 protocol. And -- and again, I -- I understand how
 17 we're always mindful of fracturing things further,
 18 but I mean, let's face it. As we evolve, we're going
 19 to have to come up with processes for this.
 20 **MS. BOMBARD:** And to Dr. Winslow's
 21 point, again, I think we can treat this a lot like we
 22 have in inter facility protocols. In which case
 23 REMAC needs to be made aware and have a discussion
 24 about it, and we do not, necessarily.
 25 **MR. HUDSON:** And -- and Dr. Bombard, I

1 2/7/2024 - Medical Standards - Troy, New York
 2 like to get some element of -- of closure aside from
 3 --.
 4 **CHAIR RABRICH:** Would you like to make
 5 a motion, sir?
 6 **MR. BERKOWITZ:** So you know, I think,
 7 Jeremy, do you have a motion that you're thinking of?
 8 But I want to say -- I want to say before I do that,
 9 I want to say one -- one thing real quick. That --
 10 that, when you think about, you know, community
 11 paramedicine, you know, I think there's a big
 12 difference between when we are using paramedics in a
 13 community health function where they are doing --
 14 working on their offline medical direction with
 15 protocols and guidance to do things like vaccination
 16 or even a well-check visit where there's -- there's
 17 no doctor involved with that visit.
 18 There's a lot of programs about that,
 19 you know, readmission reduction. There's stuff with,
 20 you know, dementia. There's -- there's great
 21 programs out there where -- where -- where they're
 22 functioning in a community health manner and -- and
 23 there's no doctor who's seeing the patient.
 24 That is very different than what we're
 25 talking about here and actually what happens with

1 2/7/2024 - Medical Standards - Troy, New York
 2 would say, unless that REMAC then feels let's pass
 3 this up, you know, that's what I mean by a case by
 4 case basis is, now this one seems okay, we'll let the
 5 State know. Versus, no, we're going to kick this up
 6 the chain and see what the big boys say.
 7 **MS. BOMBARD:** Sometimes it's going to
 8 get kicked to us just because it's interesting. And
 9 that's fine. But I don't think that, if it's not
 10 interesting, we need to continue spending our time.
 11 **CHAIR RABRICH:** Thank you. Great
 12 discussion. I think, you know, more to come about,
 13 you know, how we go about this, what we call it, I
 14 think, there'll definitely be further discussions on
 15 this. Anyone has any other comments on this? We'll
 16 move on to the next. Yes, Dr. Berkowitz.
 17 **MR. GREENBERG:** I'm sorry your time
 18 has run out in front of this committee.
 19 **MR. BERKOWITZ:** So I'll --
 20 **MR. GREENBERG:** First, try to make it
 21 to a mic that works. There you go. Thank you.
 22 **MR. BERKOWITZ:** So -- so you know, I
 23 am, you know, I am, you know, tasked in my region to
 24 a -- to support the agencies that are trying to
 25 innovate in our region and do things. So I would

1 2/7/2024 - Medical Standards - Troy, New York
 2 inter facility, where there is a doctor with orders,
 3 where there are notes in the chart. So you know, the
 4 -- the brass tacks comes down to risk in a lot of
 5 cases.
 6 And when there's a note in the chart,
 7 that doctor so and so says this, and a -- a -- a --
 8 an actual visit, an encounter number. All the things
 9 that as the physicians, you know, we understand is a
 10 part of the -- of the -- of the -- of the patient
 11 record in a way that's different than what's in the
 12 E.P.C.R.
 13 When that's there and that order, it
 14 is a different type of community paramedicine than
 15 kind of vaccination or you know being dispatched for
 16 a well-check as part of a program where a doctor
 17 never got involved. So I want to make that
 18 distinction. And then -- and then -- and then, turn
 19 it over to Jeremy.
 20 **CHAIR RABRICH:** To -- to craft some
 21 sort of motion whether its --
 22 **MR. BERKOWITZ:** (unintelligible)
 23 **CHAIR RABRICH:** -- (unintelligible) to
 24 support the concept or --
 25 **MR. BERKOWITZ:** Yes, I do have to

1 2/7/2024 - Medical Standards - Troy, New York
 2 advocate for --
 3 **CHAIR RABRICH:** Basically, what action
 4 item would you like is what we're asking.
 5 **MR. GREENBERG:** So just before you go
 6 to your action item. So I think even if you were to
 7 put it forward as an action item, it's a very
 8 specific thing, it's a very specific area. And then,
 9 you know, if we have to look at it further from our
 10 side to further clarify where it should sit or live
 11 or how it should, I think if this body was to support
 12 that that gives us that pathway to do those
 13 additional things.
 14 And then, we can move forward from
 15 there. Does that seem reasonable? Just remember all
 16 the change log stuff we did.
 17 **MR. CUSHMAN:** Ryan and I are
 18 exchanging kind of confused looks at each other,
 19 trying to read each other, I guess. But so yeah, I -
 20 - I'm with you. I -- I kind of want some -- some
 21 closure. And so I've written the following, which I
 22 still don't know is the right thing, but at least it
 23 gets it out there. And perhaps if we need to
 24 wordsmith between meetings, we can.
 25 **MR. GREENBERG:** And if this motion is

Page 61

1 2/7/2024 - Medical Standards - Troy, New York
 2 be done here is unclear.
 3 **MR. CUSHMAN:** Jim, I'm -- I'm with
 4 you, but I -- I also believe that we have the
 5 opportunity to really start setting the precedent
 6 that perhaps we don't need. That -- that we can set
 7 the standards to, you know, as -- as my colleague has
 8 -- has mentioned, that we're -- again, community
 9 paramedic programs are all somewhat unique.
 10 But if we're talking about a medic --
 11 a patient -- patient-specific order, that is
 12 therefore by definition requiring a practitioner's
 13 relationship with that patient in making the
 14 determination of that, versus, a community health
 15 initiative which is taking undifferentiated patients.
 16 If we have to address that at some
 17 point in time in the future, we can figure out how to
 18 navigate that at some point in time in the future.
 19 But I would also say in just, you know, Dr. Winslow,
 20 from your side, that -- that, although this may not
 21 be the place where something for C.P. gets approved,
 22 it's absolutely the place where discussion and
 23 support of the medicine and initiatives behind it can
 24 be discussed and supported.
 25 And I think that is equally as -- as

Page 63

1 2/7/2024 - Medical Standards - Troy, New York
 2 written on there, will you also email that to Teresa
 3 directly after so she doesn't have to try and capture
 4 every word that you said?
 5 **MR. CUSHMAN:** I'll be glad to do that.
 6 But yes, I'll --
 7 **MR. GREENBERG:** Great.
 8 **MR. CUSHMAN:** -- I'll figure out how
 9 to get it there. But I -- I guess conceptually what
 10 I'm trying to do is summarize what I think the
 11 conversation is and that's this. The SEMAC believes
 12 that the administration of medications using a route
 13 within the practitioner scope of practice is within
 14 the practice parameters of a community paramedic
 15 program and may be administered with direct medical
 16 oversight in a patient-specific order for such
 17 medication. Period.
 18 **MR. WINSLOW:** I -- I -- I'd like to
 19 second just to discuss it.
 20 **CHAIR RABRICH:** Okay.
 21 **MR. WINSLOW:** But --
 22 **CHAIR RABRICH:** Yeah. So let's start
 23 the discussion again.
 24 **MR. WINSLOW:** I'd like to start it
 25 with, does this need to be done and does it need to

Page 62

1 2/7/2024 - Medical Standards - Troy, New York
 2 important in some of those things that are moving
 3 forward.
 4 **CHAIR RABRICH:** Dr. Cooper -- I'm
 5 sorry. Jared and then Dr. Cooper.
 6 **MR. KUTZIN:** You know, I -- I
 7 appreciate the -- the entire conversation and I agree
 8 with what Dr. Cushman and Dr. Dailey said earlier. I
 9 think the motion as it's made is a bit broad in that
 10 the statement are regarding, you know, being able to
 11 administer medications just because there's a route
 12 that's available really does lend itself to
 13 significant scope creep.
 14 And I think that we need to really
 15 partner with other agencies and have this discussion
 16 because I think that there needs to be a demonstrated
 17 need for this type of -- this type of initiative.
 18 And I would agree, I'm not even sure that this body
 19 is the proper body to deal with this as the protocol
 20 that has been submitted as written is really about
 21 long-term care, follow-up care, not emergency care.
 22 **CHAIR RABRICH:** Thanks. Dr. Cooper.
 23 **MR. COOPER:** I'm not sure that, you
 24 know, that Dr. Cushman, that we're quite ready for
 25 the closure that is desired. I -- I -- I'm concerned

Page 64

1 2/7/2024 - Medical Standards - Troy, New York
2 that -- that we haven't really necessarily thought
3 through all the ramification of -- you know, of
4 what's being suggested by this motion.

5 I -- I don't really know who's going
6 to be providing the direct medical oversight. You
7 know, how it's going to be -- how it's going to be
8 provided. And, you know, a direct patient order, you
9 know, individual patient order is, you know, is
10 something that has not -- shall we say been
11 traditionally part of, you know, paramedical
12 practice, you know, in our State at -- at large.

13 It is certainly part of some community
14 paramedicine programs. There's no question about it.
15 But I think personally we're putting the cart before
16 the horse a little bit. I -- I -- I think we need to
17 think about this a little bit before we take -- take
18 such a step. And I don't think we're ready for the
19 closure.

20 And you know, I think we will be soon
21 if we sit down and think about it. But I -- I just
22 don't think I don't think we're quite -- we're quite
23 there yet. And I would -- I would vote against this
24 motion for that reason. Thank you.

25 **CHAIR RABRICH:** Dr. Bombard first,

Page 65

1 2/7/2024 - Medical Standards - Troy, New York
2 Nor, do we want to put it in writing
3 that we don't at this point because it's still
4 developing. I think we should generally support the
5 development and continued development of community
6 paramedicine, but not make a formal motion at this
7 time.

8 **CHAIR RABRICH:** Any other comment?
9 Dr. Dailey.

10 **MR. DAILEY:** I think the only other
11 thing that I would throw into this is, you know, the
12 idea of supporting community paramedicine programs, I
13 think is extremely important. But I think this again
14 becomes something that's fulfilling regional needs.

15 And we need to make sure that these
16 programs are all being vetted at the regional level,
17 not for approval, but for understanding and
18 acceptance of what other things will be brought to
19 bear there. So all of the physicians in the room at
20 that REMAC meeting, all of the participants in the
21 system that are a part of that regional council,
22 which is why we have these regions, can understand
23 what opportunities are being brought for patients
24 within that region.

25 If we remove that regional component

Page 67

1 2/7/2024 - Medical Standards - Troy, New York
2 then Dr. Winslow again.

3 **MS. BOMBARD:** I would argue that there
4 is plenty of precedent for this, that we do this
5 every day, a million times a day. Whenever we write
6 protocol -- whenever we write physician orders for
7 inter facility transport, which we do a million times
8 a day. We do exactly what this guideline, protocol
9 is addressing, right?

10 And the person that is directing this
11 is the medical director for the community
12 paramedicine program that is the physician that is
13 overseeing it. That is the physician that's
14 responsible for the consequences of it. And so I
15 think those questions are actually answered.

16 We have lots of precedent for writing
17 orders for paramedics to do things. And -- and we
18 have oversight that's delineated by the program. So
19 I'm all in favor.

20 **CHAIR RABRICH:** Dr. Winslow.

21 **MR. WINSLOW:** Yeah, I think we should
22 tread lightly here because it is new. I also think
23 we don't want to set ourselves up as a body, the Med
24 Standard subcommittee or the SEMAC of having to
25 approve every single one of these as they come along.

Page 66

1 2/7/2024 - Medical Standards - Troy, New York
2 of this and we just have agencies starting programs
3 on their own with approval somewhere outside of the
4 regional level, we will lose significant
5 opportunities that could otherwise bring benefit to
6 our patients.

7 **MR. DUVALL JR.:** Would it be
8 appropriate for this committee to ask SEMAC to issue
9 an opinion that reflects Dr. Cushman's thoughts that
10 we already have protocols in place that allow for
11 paramedics to administer through already approved
12 routes of administration -- medications with a
13 written order patient specific for a physician?

14 And let it go at that until we can
15 revisit the idea of whether there needs to be a more
16 substantial set of guidelines or protocols. If -- if
17 the perception is and -- and if the interpretation is
18 that what this protocol seeks to do is already within
19 our scope of practice, within our protocols, again,
20 I'd ask that and I'm not advocating that, that this
21 is the wild west.

22 But please don't over regulate it and
23 don't over think it. Let's figure out how to make it
24 work and go from there.

25 **CHAIR RABRICH:** All right. I don't

Page 68

1 2/7/2024 - Medical Standards - Troy, New York
 2 see any other discussion.
 3 **MR. CUSHMAN:** Yeah, I can -- truly Dr.
 4 Dailey, I think what is critical in your comment is
 5 that we're trying to do two things at the same time
 6 which is truly how do -- how do we regulate C.P.
 7 programs and what level of regulation is that. And
 8 it is likely some combination of that which is done
 9 at the Bureau and Statewide level.

10 And I, a thousand percent agree with
 11 stuff that has to happen at the local level which or
 12 -- or the regional level which understands the -- the
 13 -- the -- quite frankly, the practice nuances in that
 14 community and -- and the specific needs in that
 15 community.

16 And that those needs are actually
 17 being met in a -- in a meaningful way and not just
 18 because we have something that we can throw on the
 19 truck and use and call ourselves community
 20 paramedics. At the same time I think we are
 21 challenged by where really does all of these fit in
 22 the grand scheme of -- of paramedicine.

23 Is it thirty, is it twenty-eight, is
 24 it some new article that we come up with, I don't
 25 know. In the end, I -- I -- I really -- honestly, I

Page 69

1 2/7/2024 - Medical Standards - Troy, New York
 2 hopefully, I didn't change it at all. The motion was
 3 the SEMAC believes that the administration of
 4 medications using a route within the practitioners
 5 scope of practice is within the practice parameters
 6 of a community paramedic program and may be
 7 administered with direct medical oversight and a
 8 patient specific order for such medication, period.

9 **CHAIR RABRICH:** All right. All those
 10 in favor of that motion, please raise your hand.

(Off the record; 09:22 a.m.)

(On the record; 09:23 a.m.)

11 **CHAIR RABRICH:** Three, four, five,
 12 six, seven -- seven, all opposed? One, two, three,
 13 abstentions. Okay. I got seven, three and three
 14 okay, so, uh-huh, it carries, yeah. So the motion
 15 does carry. All right. You don't look happy, Dr.
 16 Berkowitz?

17 **MR. BERKOWITZ:** No, it's just more
 18 discussion at SEMAC.

19 **CHAIR RABRICH:** Yes, exactly. All
 20 right. So -- and let's move on to a non-
 21 controversial action items. So the sunset of the
 22 C.C. curriculum which has been sent to us from
 23 Training and Ed. Mr. Hudson, would you care to
 24
 25

Page 71

1 2/7/2024 - Medical Standards - Troy, New York
 2 don't care whether or not this -- this motion moves
 3 forward. I wanted to get something out there so we
 4 could have the discussion, right?

5 And -- and fundamentally, if this
 6 discussion helps foster the perspective of this body
 7 and their opinions at least voiced to the director of
 8 the bureau so they know roughly what we're feeling
 9 and some of the areas that we need to -- to do, then
 10 -- then, so be it.

11 There is no question, you know, the
 12 work from innovations, the work from this body and so
 13 forth needs to start happening with haste or it will
 14 be defined for us and not by us.

15 **CHAIR RABRICH:** All right. Thank you.
 16 So we do have your motion out there, so we need to
 17 take some action on it. We'll second it? Yeah,
 18 we'll second -- we seconded it for the purpose of
 19 discussion. So we need to take some action on the
 20 motion, so Dr. Kutzin.

21 **MR. KUTZIN:** Can we -- can we just re-
 22 read the motion, please?

23 **CHAIR RABRICH:** Sure. Dr. Cushman,
 24 could you re-read your motion?

25 **MR. CUSHMAN:** Yeah, so the motion --

Page 70

1 2/7/2024 - Medical Standards - Troy, New York
 2 present, I don't know if we have it to put up but the
 3 -- the recommendation that was sent from Training and
 4 Ed?

5 **MR. HUDSON:** I'll stall Theresa. So
 6 as they pull that up, just some background, so the
 7 discussion's been had at Training and Ed revolving
 8 around the planned and eventual sunset of the
 9 E.M.T.C.C. level of care. So at last December's
 10 meeting, Training and Ed put forth a motion that
 11 we'll pull up and read to the SEMSCO, laying out a
 12 time frame and a plan for its sunset.

13 SEMSCO, I believe, saw fit to then
 14 relegate that to training -- sorry, to Med Standards
 15 and to SEMAC which -- we're in total agreement with
 16 for physician oversight and direction. So that's why
 17 it comes back here or in partnership with the other
 18 committees we're looking to formulate a sensible and
 19 logical plan that gives people a future, maintains
 20 system integrity, all related to what we're all here
 21 for, which is patient care.

22 **CHAIR RABRICH:** Thanks. I -- I don't
 23 know if you have the other one with the details of
 24 the -- the sunset.

25 **MR. HUDSON:** (unintelligible).

Page 72

1 2/7/2024 - Medical Standards - Troy, New York
 2 **CHAIR RABRICH:** Yeah.
 3 **MR. HUDSON:** And it's --
 4 **CHAIR RABRICH:** No, no -- it's --
 5 yeah, it's -- well, it can't read anything yet but
 6 it's coming up. We -- we'll read it once, it's --
 7 it's up there. Don, do you have it in front of you
 8 to read or?
 9 **MR. HUDSON:** I do not.
 10 **CHAIR RABRICH:** Okay.
 11 **MR. HUDSON:** I'm working on it as
 12 Theresa's also.
 13 **CHAIR RABRICH:** Okay. Dr. McEvoy, can
 14 you give a synopsis of it?
 15 **MR. HUDSON:** (unintelligible) --
 16 **MR. MCEVOY:** Oh, there you go. As
 17 it's not really contentious, I don't want to be
 18 misquoted, so that's --
 19 **CHAIR RABRICH:** Yes.
 20 **MR. MCEVOY:** Why I want to have the --
 21 **CHAIR RABRICH:** It's up there now.
 22 **MR. HUDSON:** I cannot read it.
 23 **MR. MCEVOY:** I can't read that either.
 24 **CHAIR RABRICH:** Motion to SEMSCO to
 25 communicate with the --.

1 2/7/2024 - Medical Standards - Troy, New York
 2 time.
 3 **CHAIR RABRICH:** Thank you. So it's
 4 brought to us for discussion and then I think, you
 5 know, the question of, as a -- as a physician group,
 6 how do we feel about that date, about the sunset? My
 7 understanding is that the curriculum for the C.C.
 8 level was last updated in 1996, I was told.
 9 And as well as the maintenance of
 10 protocols for this level. So I'll open it up to
 11 discussion. Dr. Winslow?
 12 **MR. WINSLOW:** Yeah, I -- I'm in
 13 support of this motion. I come from a county that
 14 has transitioned over the last five years,
 15 approximately three hundred E.M.T.C.C.s to bridge to
 16 become paramedics. We have approximately a hundred
 17 and thirty something left of which we feel most are
 18 not active.
 19 And they've had plenty of opportunity
 20 over the last five years even through COVID to have
 21 multiple ac -- abilities to bridge, if you will. So
 22 I think we've certainly done our due diligence to
 23 allowing those that wish to move up -- to move up.
 24 And I think that it's time to call the question.
 25 **CHAIR RABRICH:** Thank you. Are there

1 2/7/2024 - Medical Standards - Troy, New York
 2 **MR. MCEVOY:** I think somebody closer
 3 to a screen --
 4 **CHAIR RABRICH:** Yes.
 5 **MR. MCEVOY:** Maybe --
 6 **CHAIR RABRICH:** Maybe --
 7 **MR. MCEVOY:** Hold on, I can read it.
 8 Screens being exchanged. A motion to SEMSCO
 9 commensurate with the acknowledged concerns regarding
 10 the curriculum, scope of practice, comparable
 11 standards, blah, blah. All expired E.M.T.C.C.s can
 12 continue to refresh at the A.E.M.T. level via
 13 established processes indefinitely which would be the
 14 C.M.E.
 15 The final E.M.T.C.C. to paramedic
 16 bridge will commence in April 2026 to coincide with
 17 the following. The A.L.S. collaborative protocols
 18 will remove E.M.T.C.C. level of care when implemented
 19 on the annual revision timeline, July 1, 2027. All
 20 currently certified E.M.T.C.C. shall expire.
 21 Their certification shall expire at
 22 midnight on July 1, 2027. And they'll automatically
 23 be re-certified at the A.E.M.T. level for the
 24 duration of their current cycle. P.C.R.s would
 25 reflect E.M.T.C.C. by their new level at the same

1 2/7/2024 - Medical Standards - Troy, New York
 2 comments? Maybe we could ask Jerry to come up and
 3 give -- give a summary if we could about, we're at
 4 with the -- oh --
 5 **MR. GREENBERG:** More to this -- to the
 6 survey.
 7 **CHAIR RABRICH:** Yeah.
 8 **MR. GREENBERG:** Maybe just some of the
 9 key points of some of the feedback that we got. I
 10 know will come out in May but if you can just, for
 11 those of you who are unaware, there was an E.M.T.C.C.
 12 survey that went out to all the core sponsors as well
 13 as others. It's still up on the alert. It's still
 14 open.
 15 We have about five hundred -- so we
 16 have about five hundred or so active E.M.T.C.C.s. We
 17 got about six hundred and fifty respondents on the
 18 survey.
 19 **CHAIR RABRICH:** Okay.
 20 **MR. GREENBERG:** So just put that out
 21 there.
 22 **MR. DUVALL:** Point of privilege, the
 23 gentleman down at the end said clearly call the
 24 question, so that should end the discussion.
 25 **MR. GREENBERG:** Well, we got to vote

1 2/7/2024 - Medical Standards - Troy, New York
 2 to call the question.
 3 **MR. WINSLOW:** I meant it's time to
 4 draw the line in the sand.
 5 **MR. GREENBERG:** Right, you were not
 6 literally --
 7 **MR. WINSLOW:** Not specifically --
 8 **MR. GREENBERG:** --calling the question
 9 --.
 10 **MR. WINSLOW:** -- in -- in the
 11 Robert's rules manner.
 12 **MR. GREENBERG:** Okay. No calling the
 13 question.
 14 **MR. WINSLOW:** I'd like the discussion
 15 to continue.
 16 **MR. GREENBERG:** Thank -- thank you for
 17 clarifying that, doctor.
 18 **MR. DUVALL:** Choose your words wisely.
 19 **MR. GREENBERG:** Yes. I apologize.
 20 **CHAIR RABRICH:** Yep. While we're
 21 waiting for that any other discussion?
 22 **MR. HUDSON:** So I -- by some -- during
 23 some time just give me the cut off. So obviously,
 24 the concern on all levels properly. So is, you know,
 25 we don't want to strip an area of needed services.

Page 77

1 2/7/2024 - Medical Standards - Troy, New York
 2 level care in their region.
 3 So it can be done, if regions have
 4 concern, obviously those concerns are warranted but
 5 they do have a resolution.
 6 **CHAIR RABRICH:** Thanks.
 7 **SPEAKER 24:** So yeah, just a couple
 8 quick numbers here. I mean, we -- we broke it down
 9 by each one of the proposals, each four and for three
 10 of them, the first, second and for at least for the
 11 first two. So the collaborative protocol question
 12 and the expiration. And their expiration be the most
 13 significant one.
 14 Overall, we had about five hundred and
 15 fifty-one responses that we tallied on this
 16 particular thing. And they were pretty split. So it
 17 was approximately about forty-seven percent, don't
 18 necessarily support this. And forty-five, four
 19 percent do. Ten -- about eight percent were neutral.
 20 And if you take the C.C.s out of that
 21 and they're the ones that responded, it
 22 overwhelmingly sways it to sixty-six percent do not
 23 support, so they were a significant number into that.
 24 So if we take the math and do it the other way, so
 25 overall, if you look at the numbers going down, it

Page 79

1 2/7/2024 - Medical Standards - Troy, New York
 2 We're all desperate for what we have. We all need
 3 every, you know, man on deck, per se.
 4 So the flip side to that argument or
 5 the -- the counter discussion is what opportunities
 6 are there, what successes have been had in bolstering
 7 systems in the absence of C.C.s? And I would
 8 suggest, you know, regions take a real hard look at
 9 A.E.M.T. level as sort of the modern-day equivalent
 10 of where the E.M.T.C.C. began.
 11 And if it meets their patient care
 12 need from a pseudo A.L.S. perspective with lifesaving
 13 skills and not a whole lot of fluff. The other thing
 14 is we had a presentation from Allegheny County, thank
 15 you to them. They were one of the regions that at
 16 December's meeting expressed pretty grave concerns
 17 that of their fourteen or so sixteen or so
 18 E.M.T.C.C.s., none of them had bridged, they can't
 19 travel to do it. And everything else.
 20 It was only by them coming and voicing
 21 their concerns as -- as we want at these committees
 22 that they worked with Northwell didactic online
 23 bridge sponsor and a local core sponsor to get, I
 24 believe, the number was twelve of their fourteen
 25 C.C.s into a bridge program to maintain it an A.L.S.

Page 78

1 2/7/2024 - Medical Standards - Troy, New York
 2 was pretty much split between the two with about
 3 seven to eight percent do not support overall.
 4 There was a larger percentage of
 5 individuals for transparency who felt that allowing
 6 them to continue indefinitely through the C.C. -- for
 7 the C.M.E. program that was about almost sixty
 8 percent of respondents. But other than that, that
 9 was the overall tally of it.
 10 **CHAIR RABRICH:** Thank you. Any other
 11 comments?
 12 **MR. HUDSON:** So then, just for Med
 13 Standards to be aware training Ed's putting forth a
 14 seconded motion at SEMAC, SEMSCO reaffirming our
 15 belief in what you see before you.
 16 **MR. RABRICH:** Uh-huh.
 17 **MR. HUDSON:** So if you'd like that
 18 modified in some way, as -- as I think the question
 19 before the group is, now is the time.
 20 **CHAIR RABRICH:** Yeah, that or for this
 21 group to make a motion to support this as well, so.
 22 **MR. GREENBERG:** As written.
 23 **CHAIR RABRICH:** As written, yeah. Any
 24 other comment? Would anyone like to make a motion?
 25 **MR. WALTERS:** Dr. Rabrich --

Page 80

1 2/7/2024 - Medical Standards - Troy, New York
 2 **CHAIR RABRICH:** Yes, Dr. Walters.
 3 **MR. WALTERS:** -- make a comment. So
 4 my -- my REMSCO actually had sent a letter
 5 recommending or suggesting that we extend this to
 6 2030. Now, I think a lot of us agreed.
 7 **MR. GREENBERG:** Hold the mic a little
 8 bit closer.
 9 **MR. WALTERS:** Sorry. That the -- a
 10 lot of us agree that the time has come, that we see
 11 the issues with the C.C. standard and some people
 12 probably propose moving it forward sooner. The
 13 reason for that 2030 deadline was that a lot of the
 14 C.C.s going to the paramedic level in our region are
 15 not using the bridge which is available to them but
 16 they are doing it through advanced standing in the
 17 local paramedic class.
 18 And there's some advantages to that
 19 locally. That class only runs every other year just
 20 because of numbers. And so the next one isn't until
 21 January of 2025, the beginning and then, January of
 22 2027. So the concern was the ability for those
 23 existing C.C.s., particularly in Allegheny County as
 24 mentioned to be able to have time to go through the
 25 local paramedic program and -- and become paramedics.

1 2/7/2024 - Medical Standards - Troy, New York
 2 discussions, some of these C.C.s across the State
 3 thought this is going to continue indefinitely. I
 4 will be able to continue with C.M.E. re-
 5 certification. And some -- for some of them, that
 6 was a disincentive to maybe bridge sooner than now.
 7 So we are where we are but I think
 8 that as we go forward and we make changes from this
 9 body or we change our -- our educational standards,
 10 our scope of practice, things of that nature, I -- I
 11 would encourage us to really set some guidelines from
 12 the beginning -- timelines from the beginning and not
 13 kick the can down the road because I think it really
 14 then puts our providers at a disservice of trying to
 15 get them the education to upgrade, to change their
 16 level or to make appropriate decisions for their
 17 career.
 18 You know, they could have had several
 19 more years to do this and -- and granted they had the
 20 warning. I'm not saying they didn't but because we
 21 didn't push the issue and there was no deadline, many
 22 of them said, well, I'll just wait to see how this
 23 plays out. And -- and I think that -- that -- that
 24 puts them in a position that they could have made a
 25 better, more opportune choice sooner had we

1 2/7/2024 - Medical Standards - Troy, New York
 2 Now, after hearing yesterday from --
 3 from Bonnie, from Allegheny County, they've come up
 4 with a solution to address that for the majority of
 5 their C.C.s. And looking at the other two counties
 6 in our -- our REMSCO, there's only a handful of -- of
 7 C.C.s. So I think in light of that, there's not a
 8 whole lot of practicing C.C.s who will not be
 9 retiring in the next couple of years in our region
 10 that would perhaps benefit from a prolonged deadline.
 11 Which I think, again, a lot of us would -- would
 12 argue against a prolonged deadline. So that said, I
 13 do think that we need to look at the availability of
 14 both the bridge in our regions and be discussing this
 15 and local paramedic programs to get as many of these
 16 C.C.s to paramedics, you know, to the paramedic level
 17 as quickly as possible.
 18 My -- my point here and that I'd like
 19 to bring up and -- and not that it changes where
 20 we've come from or -- or where we are right now but
 21 these timelines or proposed timelines, we discussed
 22 when we first discussed the sunseting of the C.C.s
 23 and we didn't set a deadline and many of us at the
 24 time wanted to set a deadline.
 25 And I think because of some of these

1 2/7/2024 - Medical Standards - Troy, New York
 2 established firm timelines from the get go.
 3 **MR. GREENBERG:** So Dr. Walters,
 4 correct me if I'm wrong, is what you're saying is you
 5 believe that there should be a defined sunset date,
 6 possibly longer like 2030, but that the bridge
 7 program maybe ends in 2027 and those who still want
 8 to bridge after that would have to use advanced
 9 placement through a traditional paramedic program
 10 with it.
 11 **MR. WALTERS:** So that wasn't what I'm
 12 saying but I think that's an option. What -- what I
 13 -- what I was saying in a round about way I was
 14 saying that there are some people that I think would
 15 like, you know, to see the sunseting before 2027. I
 16 think there are some like my region who sent a letter
 17 saying it should be longer 2030.
 18 I think in light of what we've heard
 19 from Allegheny County yesterday and my region and
 20 looking at the data and the number, the hand full of
 21 providers at the C.C. level, again, in our region, I
 22 personally think that the 2027 deadline is -- is
 23 appropriate.
 24 **MR. GREENBERG:** Right.
 25 **MR. WALTERS:** That again, my REMSCO

800.523.7887 2-7-2024, Medical Standards Meeting Associated Reporters Int'l., Inc.

1 2/7/2024 - Medical Standards - Troy, New York
2 sent a letter saying they think it should be 2030 but
3 I think looking at the advances since that letter was
4 drafted in our last meeting and looking what's going
5 on across the region in Allegheny County like we
6 heard yesterday, I -- I think 2027 is a reasonable
7 time frame.
8 **CHAIR RABRICH:** Yeah. Yes, Dr.
9 Bombard?
10 **MS. BOMBARD:** The -- we have a large
11 percentage, although not a large number of active
12 critical cares in my region. And because of that,
13 the REMAC physicians in my REMAC sent me forth with a
14 message that they would prefer a later sunset date as
15 well because of the -- the large number of practicing
16 critical, it's not even number, it's ninety-five
17 critical cares that are active in our region but our
18 region is tiny, right.
19 And so this is a large percentage of
20 our A.L.S. providers not a large number of our A.L.S.
21 providers. Also, paramedic programs at least,
22 traditional paramedic programs are -- are pretty
23 scant on the ground where we are. And so yes, if you
24 -- you have the opportunity and want to bridge, that
25 is certainly an opportunity for you.

Page 85

ARII@courtsteno.com www.courtsteno.com

800.523.7887 2-7-2024, Medical Standards Meeting Associated Reporters Int'l., Inc.

1 2/7/2024 - Medical Standards - Troy, New York
2 But if you want to go through a
3 traditional paramedic program, you're going to need a
4 little more breathing room. I agree, lesson learned,
5 going forward, we need to set a date where we're
6 going to set a date and we need to be strong about
7 that and brave, right, because otherwise we look a
8 little disingenuous when people interpret this as
9 we're going to sunset by attrition and then, are
10 unhappily surprised when we don't do that.
11 So I think good -- good for us. We'll
12 learn our lesson moving forward. But I did want to
13 communicate that request from my REMAC.
14 **CHAIR RABRICH:** Don?
15 **MR. HUDSON:** So you know, from the
16 Training and Ed committee, many of us on Training and
17 Ed were involved in the first discussions involving
18 sunseting. Many of us were then involved with at
19 the time Andy Johnson, to formulate what we know now
20 as the bridge. So I'm saying there's a historic
21 perspective. So I agree that setting of time frames
22 may have muddied the waters here back then or the
23 lack thereof.
24 I don't think that was done for any
25 reason other than there was a lot of unknowns at the

Page 86

ARII@courtsteno.com www.courtsteno.com

800.523.7887 2-7-2024, Medical Standards Meeting Associated Reporters Int'l., Inc.

1 2/7/2024 - Medical Standards - Troy, New York
2 time. We certainly didn't have numbers or data or
3 statistics as we do today. The fear or probably
4 assumption was that we are still as a State,
5 especially, in most regions, quote unquote, most
6 regions, so still reliant on C.C. that now's not the
7 time to set that date.
8 That being said, I think we find
9 ourselves in a much different position today. We
10 sort of -- I hope, know what we don't know. We have
11 a better sense of statistics and numbers. I would
12 offer to, if it puts people's fears to rest or -- or
13 gives an option to providers, you know, for whatever
14 reason, if the E.M.T.C.C. has not yet bridged and we
15 are to adhere to these sunset time frames that are
16 proposed.
17 Bridging now would allow them to seek
18 advanced standing in a full paramedic original
19 indefinitely in the future. So it sort of resets
20 their clock at the New York State paramedic level.
21 And quite honestly, unless somebody has a different
22 view or a different slant on it, the only thing the
23 bridge doesn't give you is the ability to leave New
24 York State as a paramedic because you don't qualify
25 for National Registry.

Page 87

ARII@courtsteno.com www.courtsteno.com

800.523.7887 2-7-2024, Medical Standards Meeting Associated Reporters Int'l., Inc.

1 2/7/2024 - Medical Standards - Troy, New York
2 Other than that, you are a New York
3 State paramedic as am I. So there's really no
4 downside to it. And -- and I guess, if a region or a
5 provider or an agency had -- like we saw with
6 Allegheny County has concerns, please bring them to
7 us and we can find a way to keep you going.
8 I guess, in closing -- I'll leave it
9 as the question as I see it from Training and Ed
10 before this committee is, do you extend these time
11 frames. Do you stick to these time frames or do you
12 alter these time frames? Other -- unless somebody
13 has something that we're not seeing.
14 **CHAIR RABRICH:** Thanks. Dr. Dailey?
15 **MR. DAILEY:** So I'm extremely
16 impressed by what Allegheny County did in seeing a
17 problem coming up with a solution, seeking -- seeking
18 out and then, moving towards a solution. You know,
19 we're -- we're speaking out of both sides of our
20 mouths at this table, right? Out of one side we're
21 saying we need to advance community paramedicine,
22 support advancement of this profession, continue to
23 do everything that we can to improve patient care in
24 the State.
25 Now, the other is that we will

Page 88

ARII@courtsteno.com www.courtsteno.com

1 2/7/2024 - Medical Standards - Troy, New York
2 continue to maintain a credential that has not had
3 updated education since before I graduated from
4 medical school. Right. That's really problematic.
5 Quite frankly, I was originally asked about that date
6 of 2027 and I thought it was too long.

7 I still brave, we look at Monroe,
8 Livingston County, you know, region who removed
9 critical cares from their practice ten years ago,
10 maybe more. All right. And I see that there are,
11 you know, significant portions of the State that
12 still do have penetration of those critical cares.

13 I think 2027 is giving us three years
14 -- three more years for people to make the right
15 decision and move up or down. And for us to just
16 finally to sunset, this vestigial piece of New York
17 State E.M.S. history, so that we can move on at an
18 appropriate national scope of practice level.

19 **CHAIR RABRICH:** Thanks. Don?

20 **MR. DUVALL:** Do you know there is
21 another way that you could manage these timelines?
22 And that would be in the next round of collaborative
23 protocol updates, just to remove the scope of
24 practice for critical care and replace it with
25 A.E.M.T. No scope of practice, no protocols, that'll

1 2/7/2024 - Medical Standards - Troy, New York
2 came up years ago. And I supported the idea of
3 removal by attrition. We didn't set a timeline and a
4 few people have admitted that. My understanding at
5 that time was we were going to allow for critical
6 care techs to age out through continuing medical
7 education.

8 I believed that was the right thing to
9 do at the time. Over the years, my views personally
10 may have changed a little bit, but I'm a firm
11 believer in the idea that you don't make a promise
12 you're not willing to keep. And if we made that
13 promise in the beginning, I don't really feel right
14 about tagging a timeline to it now.

15 But that's why -- that's why I offered
16 an alternative pathway to get where you want to be.

17 **CHAIR RABRICH:** Thanks. Dr. Winslow,
18 did you have something else you wanted to?

19 **MR. WINSLOW:** Yeah, and just -- just
20 remind everyone, it's not like they're not going to
21 be providers in the system. You know, they're going
22 from an unregulated and un -- not reviewed curriculum
23 as an E.M.T.C.C. to something that we have good
24 control over the A.E.M.T. So I -- I think that
25 they're going to become an A.E.M.T. as a positive at

1 2/7/2024 - Medical Standards - Troy, New York
2 set your timeline wherever you want it. And that's
3 within the purview of the SEMAC.

4 **MR. GREENBERG:** I think that's an
5 option.

6 **CHAIR RABRICH:** I think the goal here
7 is for a nice smooth transition and for agencies and
8 providers to be prepared for when that time is going
9 to come. So that it can transition in a manner that
10 it -- and I will say and kind of Don and to your
11 point, there's -- there's been some of the feedback
12 that's come back that said, okay, well, why don't you
13 let C.C.s just sunset.

14 You know, when they -- or so -- let
15 C.C.s go on forever to refresh until they leave the
16 field but just continue to scale back what they can
17 do. Kind of similar -- kind of facet of what you're
18 talking about. And I think you achieve that by
19 setting a sunset date and by allowing them to go to
20 A.E.M.T.

21 Essentially, you're saying they're
22 moving to that protocol set but you're also leaving
23 the card that says A.E.M.T. on that as well.

24 **MR. DUVALL:** For the record, I was
25 involved in Training and Ed when this topic first

1 2/7/2024 - Medical Standards - Troy, New York
2 that time. It's not that they're disappearing.

3 **CHAIR RABRICH:** Right. Thank you.
4 Would someone care to make a motion with regards to
5 Training and Ed's?

6 **MR. WALTERS:** Uh-huh.

7 **CHAIR RABRICH:** Oh, Dr. Walters, did
8 you want to say something?

9 **MR. WALTERS:** Just -- no, I was going
10 to say I -- I agree with Dr. Winslow to a -- a degree
11 but I think there's also the potential that they are
12 losing some skills and some things that are currently
13 in their scope or that they're able to provide to the
14 public in a response that they would not be able to
15 at the A.E.M.T. level.

16 And I'm not disagreeing about the --
17 the upkeep of the curriculum or the standard or the
18 regulation but -- but that is a very real effect in
19 some of these areas.

20 **MR. DUVALL:** To be honest though, I
21 keep hearing the -- the comments about how the
22 curriculum is old and how the curriculum hasn't been
23 updated. But I should probably remind you with
24 C.M.E. re-certification. I said in the class of 2000
25 and obtained my first paramedic certification, I did

1 2/7/2024 - Medical Standards - Troy, New York
2 maybe one traditional refresher.

3 Since then, I have not been back to a
4 traditional paramedic refresher. I have not seen the
5 curriculum as it updates other than through C.M.E.
6 re-certification and keeping my skills up. So if the
7 C.M.E. programs that the current critical care techs
8 are enrolled in and engaged in are robust, the idea
9 that the C.C. original curriculum hasn't been updated
10 in thirty years shouldn't be a factor. We're not
11 offering that class anymore to anybody.

12 **CHAIR RABRICH:** All right. So with
13 regards to what we've been asked by Training and ED
14 does someone want to make a motion to either support
15 this as is, as amended, not supported and anyone have
16 an action item?

17 **MR. DOYNOW:** I will make a motion that
18 we support the motion from Educational committee --

19 **MR. COOPER:** Second.

20 **MR. DOYNOW:** -- as it stands.

21 **CHAIR RABRICH:** Is that -- yeah.

22 Repeat the motion again, I'm sorry.

23 **MR. DOYNOW:** That -- that we support
24 the -- the -- the suggestion of the education
25 committee as --

1 2/7/2024 - Medical Standards - Troy, New York
2 twelve.

3 **CHAIR RABRICH:** All right. All
4 opposed? One, two. Okay. Abstentions? Two and
5 two. So I have twelve, two and two, the motion
6 carries.

7 All right. Any other items of new
8 business? All right, seeing none, I will entertain a
9 motion to adjourn. All right. All in favor of
10 adjourning? Excellent. Thank you.

11 (The meeting adjourned at 9:50 a.m.)

1 2/7/2024 - Medical Standards - Troy, New York
2 **CHAIR RABRICH:** Thank you and I think

3 --

4 **MR. DOYNOW:** -- as stated.

5 **CHAIR RABRICH:** Yes. And Dr. Cooper
6 seconded it, I believe.

7 **MR. DOYNOW:** Okay.

8 **CHAIR RABRICH:** There's been a lot of
9 discussion, so all those in favor of this, raise your
10 hand. One, two, three --

11 **MR. GREENBERG:** (unintelligible).

12 **CHAIR RABRICH:** Yeah, can -- maybe
13 we'll do a roll call on this one. Can we do that or
14 what's that? It was requested, Dr. Greenberg. Do we
15 have to do it if it's requested?

16 **MR. GREENBERG:** It's not --.

17 **CHAIR RABRICH:** Wait, let me -- yeah,
18 we can.

19 **MR. GREENBERG:** You need two hands or
20 you can?

21 **CHAIR RABRICH:** All right. Let --
22 let's try hands again and see if we can get a quick.
23 All in favor? Raise them high. Three, four, five,
24 six, seven, eight.

25 **MR. GREENBERG:** I'll need two, eleven,

1 2/7/2024 - Medical Standards - Troy, New York
2 STATE OF NEW YORK
3 I, ANNETTE LAINSON, do hereby certify that the foregoing
4 was reported by me, in the cause, at the time and place,
5 as stated in the caption hereto, at Page hereof; that
6 the foregoing typewritten transcription consisting of
7 pages 1 through 95, is a true record of all proceedings
8 had at the hearing.

9 IN WITNESS WHEREOF, I have hereunto subscribed
10 my name, this the 27th day of February, 2024.

11 ANNETTE LAINSON, Reporter

A	
A.E.M.T 74:12,23 78:9 89:25 90:20,23 91:24,25 92:15	62:12 68:12 71:3
A.L.S 4:9 7:5 24:20 25:3,25 31:3 32:19 74:17 78:12,25 85:20,20	administrations 36:20
a.m 1:7,7 3:2 71:11,12 95:11	administrator 15:10
abbreviate 45:25	admitted 91:4
abilities 75:21	advance 14:22 30:11 48:8 55:23 88:21
ability 81:22 87:23	advanced 40:17 81:16 84:8 87:18
able 6:9 15:15 17:4,5,12,13,16 22:16 23:10 34:23 64:10 81:24 83:4 92:13,14	advancement 41:5 88:22
abnormal 32:12	advances 85:3
absence 31:9 78:7	advantages 81:18
absolute 50:20	advice 4:22
absolutely 14:11 38:14 47:10 51:16 63:22	advisement 13:24
abstentions 22:21 24:19 31:11 33:10 34:7 71:15 95:4	advisory 30:9
ac 75:21	advocate 61:2
Academy 3:20	advocated 50:15
acceptance 67:18	advocating 68:20
accomplish 51:23	age 6:12 91:6
accurate 40:19	agencies 8:8,9,14 12:3,3 15:8 15:15 16:13 30:13 58:24 64:15 68:2 90:7
achieve 90:18	agency 8:22 16:8 17:25 18:5 34:17 88:5
acknowledged 74:9	agenda 31:19 34:11
action 61:3,6,7 70:17,19 71:23 93:16	agitated 30:3
active 75:18 76:16 85:11,17	ago 4:2 5:25 40:11 51:25 89:9 91:2
acts 44:6	agree 16:12 29:10 41:10 43:19 46:5 48:11 55:25 56:23 64:7 64:18 69:10 81:10 86:4,21 92:10
actual 60:8	agreed 81:6
acuity 46:11,12 47:12,16 51:23 55:13,14	agreement 72:15
add 20:12	ahead 14:14
addition 25:19 29:16 32:5 41:6	airway 9:7
additional 24:23 30:9 61:13	albuterol 18:17 32:6
address 18:25 23:5 63:16 82:4	alert 76:13
addressed 36:2	Alex 25:6 26:25 33:21
addressing 66:9	Allegheny 78:14 81:23 82:3 84:19 85:5 88:6,16
adequate 45:4	ALLEN 2:19
adhere 87:15	allow 14:15 68:10 87:17 91:5
adjourn 95:9	allowing 75:23 80:5 90:19
adjourned 95:11	alter 88:12
adjourning 95:10	alternative 21:9,13 91:16
administer 64:11 68:11	amazing 5:5,19 25:7 53:23
administered 62:15 71:7	ambulance 42:20 56:20
administration 32:25 37:3,10,22 38:24 39:4,7,17 45:22 49:5	ambulances 56:17
	amended 93:15
	AMY 2:2,3
	analogies 42:23

analogy 40:21	69:24
anaphylaxis 31:21,25 32:3,5	ascension 49:23
Andy 86:19	aside 59:2
ANNETTE 96:2,11	asked 27:13 28:16 46:20 51:7,9
annual 74:19	89:5 93:13
answer 11:18 14:17 15:5,24	asking 61:4
20:15 54:12	assist 24:14 28:9
answered 66:15	assistance 35:5 38:25 41:25
antibiotic 34:12 35:15 37:22,25	assisted 49:4 55:18
38:7 45:22	associated 27:10 28:3
antibiotics 29:17 34:18,23	assumed 56:19
38:20 41:6,7,18,20	assumption 87:4
anybody 93:11	attached 28:5
anymore 93:11	attempt 7:2
anyone's 50:23	attempts 6:17
anytime 17:2,5	attendance 4:23
anyway 40:25	attention 52:4
apnea 6:20	attrition 86:9 91:3
apologize 77:19	Aurora 29:24
APPEARANCES 2:2	authorized 49:10
appears 6:13	automatically 74:22
appendix 55:17	availability 82:13
appoint 21:3	available 12:7 15:22,23 64:12
appreciate 22:25 64:7	81:15
approach 37:5	average 7:24
appropriate 26:11 37:13 41:21	averages 5:23
50:8 68:8 83:16 84:23 89:18	aware 57:23 80:13
appropriately 10:24 36:12	awareness 57:3,9
approval 22:5 23:18 35:9 67:17	
68:3	B
approve 22:7,8 23:20 25:25 26:3	B.L.S 4:9 18:16 28:6 32:19
26:19 33:2,25 35:11 54:18,20	33:11,12 34:5,6 36:25
66:25	back 4:20 47:24 55:22 72:17
approved 22:3,14 25:18 31:13	86:22 90:12,16 93:3
34:16 39:2,10 47:2,3 63:21	background 72:6
68:11	bailiwick 50:11
approves 31:2	bang 45:8
approximately 75:15,16 79:17	base 50:9
April 74:16	based 28:21 47:19 49:13 51:11
area 52:3 61:8 77:25	Basically 61:3
areas 7:25 56:6,8 70:9 92:19	basis 8:23 25:14 44:16 57:10
argue 13:6 66:3 82:12	58:4
arguing 50:19	bear 67:19
argument 78:4	becoming 18:24
arrest 6:14	began 78:10
arrival 32:19	beginning 20:17 48:15 81:21
arrive 23:11	83:12,12 91:13
arrives 32:17	begun 7:7
ART 2:3	behalf 3:11
article 24:5 40:25 41:2 50:10	belief 80:15

believe 21:2 22:3 24:22 28:22
 29:3 32:15 34:20 63:4 72:13
 78:24 84:5 94:6
believed 91:8
believer 91:11
believes 41:17 62:11 71:3
belongs 54:22
BENAMONTE 2:14
benefit 68:5 82:10
Berkowitz 2:13 34:13,15 58:16
 58:19,22 59:6 60:22,25 71:18
 71:19
best 13:14 45:9 48:16 50:23
better 8:5 11:17 83:25 87:11
beyond 53:9
big 11:11 40:24 58:6 59:11
bigger 18:19
biggest 40:8 45:8
Biospatial 16:24,25 18:2
bit 8:4 27:25 46:24 52:4 56:7
 64:9 65:16,17 81:8 91:10
blah 74:11,11
blank 55:14
bless 19:25
blurred 55:22
board 44:21
bodies 30:9
body 22:3 25:17 33:16 35:19
 36:5,11 39:2 40:12 41:10,17
 42:14 61:11 64:18,19 66:23
 70:6,12 83:9
body's 22:4 23:18 24:6
bolstering 78:6
Bombard 2:19 26:6,6 45:12,24
 46:4 57:20,25 58:7 65:25 66:3
 85:9,10
Bonnie 82:3
bounds 49:3
Boy 33:7
boys 58:6
brass 60:4
brave 86:7 89:7
break 27:15
breakdown 17:24
breathing 86:4
BRIAN 2:4
bridge 6:8 10:3,9 12:22 13:19
 15:11,20 18:2,8 74:16 75:15
 75:21 78:23,25 81:15 82:14
 83:6 84:6,8 85:24 86:20 87:23

bridged 78:18 87:14
Bridging 87:17
bring 14:20,21 38:17 47:24 68:5
 82:19 88:6
bringing 32:21 38:13 57:8
broad 44:16 64:9
broaden 12:13
broke 79:8
brought 5:8 20:8 25:17 30:14
 33:15 35:18 67:18,23 75:4
Buccato 26:24
buck 45:9
Buffalo 4:15
bullet 54:25
bunch 25:11
burden 40:18
bureau 11:20 22:16 25:10 26:21
 26:23 39:11 46:17 47:6 51:4
 69:9 70:8
business 4:24 21:7 95:8

C

C.C 71:24 75:7 80:6 81:11 84:21
 87:6 93:9
C.C.s 78:7,25 79:20 81:14,23
 82:5,7,8,16,22 83:2 90:13,15
C.F.R 32:17
C.F.R.s 32:20
C.M.E 74:14 80:7 83:4 92:24
 93:5,7
C.P 39:10 40:4,4,9 63:21 69:6
call 3:3 46:11 52:12 58:13
 69:19 75:24 76:23 77:2 94:13
called 45:13
calling 77:8,12
capnography 30:2
caption 96:4
capture 9:21 13:3 62:3
card 49:9 90:23
cardiac 6:14
care 7:8 21:10 22:23 23:5,12
 25:20 26:17 36:3 42:7 44:18
 45:5,9,21 49:15 53:18 54:3
 64:21,21,21 70:2 71:25 72:9
 72:21 74:18 78:11 79:2 88:23
 89:24 91:6 92:4 93:7
career 83:17
carefully 52:3
cares 24:24 85:12,17 89:9,12
CARL 2:4

CARLA 2:5
carried 49:9
carries 34:8 71:16 95:6
carry 34:17,23 49:6 71:17
carrying 42:18
cart 65:15
case 7:4 46:12 57:6,10,10,22
 58:3,4
cases 5:10 6:19 45:23 60:5
cause 36:22 96:3
cautious 36:11
center 4:11
Central 7:19
certain 47:7
certainly 39:12 43:15 44:19
 65:13 75:22 85:25 87:2
certification 74:21 83:5 92:25
certified 74:20
certify 96:2
cetera 51:14
chain 58:6
chair 1:8 3:3,25 4:21 9:18
 12:25 16:17 20:10,23 21:2,6
 22:6,9,11,19 23:19,22,24
 24:16 25:23 26:4,7 28:13
 29:10 30:16,23 31:5,8,18
 32:22 33:5,24 34:4 35:14
 39:25 43:5,25 45:10 48:10
 49:20,24 52:7,13,19 54:12,15
 56:13 58:11 59:4 60:20,23
 61:3 62:20,22 64:4,22 65:25
 66:20 67:8 68:25 70:15,23
 71:9,13,21 72:22 73:2,4,10,13
 73:19,21,24 74:4,6 75:3,25
 76:7,19 77:20 79:6 80:10,20
 80:23 81:2 85:8 86:14 88:14
 89:19 90:6 91:17 92:3,7 93:12
 93:21 94:2,5,8,12,17,21 95:3
chairing 3:5,11
challenged 69:21
change 27:5,9,17,22 28:3,12
 31:17 33:17,22 46:20 47:19
 49:12 53:11 61:16 71:2 83:9
 83:15
changed 27:13 28:2,11 32:10
 91:10
changes 25:21 26:11 28:16 29:7
 30:5,6 31:20 32:6 33:9 53:7
 82:19 83:8
changing 27:4
chart 10:9 12:6,7,8 60:3,6
charts 8:16 19:23
check 18:17
Chief 32:10
childbirth 31:22 32:7,7,23
choice 83:25
Choose 77:18
circumstance 41:9
circumstances 41:21
city 27:8,21 31:19 33:2
clarify 61:10
clarifying 77:17
class 81:17,19 92:24 93:11
clean 32:4
clear 38:10 43:24
clearly 39:4 76:23
clock 87:20
closely 50:6
closer 74:2 81:8
closing 88:8
closure 59:2 61:21 64:25 65:19
coffee 3:25 4:22
cognitively 38:5
coincide 74:16
collaborative 21:7,25 23:5 25:3
 25:25 27:8,16 31:3 37:2,16
 54:23 74:17 79:11 89:22
collaboratively 21:23
collaboratives 35:6 43:11
colleague 37:7 38:12 63:7
collected 10:24
collection 10:22 16:19
collectively 11:16 51:3
Colorado 29:24
combination 69:8
come 3:13 8:25 13:25 18:17
 27:21 43:15 48:4 53:21 56:9
 57:19 58:12 66:25 69:24 75:13
 76:2,10 81:10 82:3,20 90:9,12
comes 9:9 10:11 54:2 60:4 72:17
comfortable 42:14 45:13,17
coming 39:24 52:10,25 54:16
 56:2 73:6 78:20 88:17
commence 74:16
commenced 3:2
commensurate 74:9
comment 16:18 20:25 32:8 67:8
 69:4 80:24 81:3
comments 3:15 20:6 22:6 24:2,17
 24:24 32:2,23 33:13 45:11

52:8,15 58:15 76:2 80:11 92:21	continue 3:13 38:14 53:24 58:10 74:12 77:15 80:6 83:3,4 88:22 89:2 90:16
commissioner 36:21	continued 32:20 67:5
commissioner's 47:25	continues 30:10 51:20 52:6
committee 3:6,11 53:23 58:18 68:8 86:16 88:10 93:18,25	continuing 15:14,25 91:6
committees 3:14 72:18 78:21	contraindications 51:13
communicate 73:25 86:13	control 91:24
communicating 8:8	controversial 71:23
communities 49:15	conversation 41:19 62:11 64:7
community 24:14 35:22 36:18 37:8,16,18,19 38:16 41:15 43:12 44:5,17 45:2,21 46:5,6 46:13,18,25 47:17 48:12,15,21 48:25 49:14 50:17,21 51:20 52:5,11 54:24 55:3,7 59:10,13 59:22 60:14 62:14 63:8,14 65:13 66:11 67:5,12 69:14,15 69:19 71:6 88:21	conversations 16:23
comparable 74:10	cool 23:8
complementary 21:19	Cooper 2:3 49:21,22 52:12,18 54:11,14 64:4,5,22,23 93:19 94:5
complete 50:20	coordinating 5:15
complications 6:18 11:11	copy 16:14
component 67:25	cord 32:11,16,18
concept 43:20 60:24	core 76:12 78:23
conceptually 62:9	correct 10:23 84:4
concern 12:9,23 44:20 77:24 79:4 81:22	correcting 8:17
concerned 64:25	correctly 40:15 52:8 56:23
concerning 11:12	correlating 20:18
concerns 11:4 32:2 74:9 78:16 78:21 79:4 88:6	council 67:21
condense 46:2	counter 78:5
condition 39:6	counties 7:22 82:5
conditions 37:23	county 75:13 78:14 81:23 82:3 84:19 85:5 88:6,16 89:8
Confirmation 7:3	couple 6:24 38:2 44:3 79:7 82:9
confirming 7:6	course 5:14 29:23
conflict 22:2	COVID 75:20
conflicted 23:4	craft 60:20
confused 61:18	credential 89:2
congratulations 49:23	credentialed 50:10
congruent 21:25	credit 14:10
consequences 66:14	creep 44:10 64:13
conservative 36:12	crisis 24:14
consider 35:21	critical 32:16 37:23 53:18 54:3 69:4 85:12,16,17 89:9,12,24 91:5 93:7
considered 9:7	critically 51:16
consistent 27:9 37:4	crossover 44:24
consisting 96:5	crunch 8:4
consumed 14:4	crunched 6:5
contentious 73:17	crunching 20:18
	CRUPI 2:17
	cup 3:25
	current 51:11 74:24 93:7
	currently 74:20 92:12
	curriculum 71:24 74:10 75:7

91:22 92:17,22,22 93:5,9 Cusar 26:25 Cushman 2:13 21:15,16 22:10,10 22:23,24 23:21,21 24:15,25 25:2 26:5,5 30:25 33:3,3,14 34:2 35:16,17 38:2 40:20,22 42:25 48:18 61:17 62:5,8 63:3 64:8,24 69:3 70:23,25 Cushman's 48:12 56:16 68:9 cut 20:12 77:23 cycle 74:24	definitely 52:22,24 58:14 definition 32:4 63:12 degree 92:10 delay 29:7 delaying 38:15 delineated 66:18 delivering 37:12 delivery 32:12,16 38:5 dementia 59:20 demonstrated 13:12 64:16 department 1:3 3:22 7:14,15 25:7 44:20 depth 30:5 desired 64:25 desperate 78:2 details 72:23 determination 38:6 63:14 determine 20:4 37:24 49:13 52:20 developed 21:19,20 44:18 developing 67:4 development 5:5 67:5,5 dialect 9:5 didactic 78:22 difference 59:12 different 4:5 13:15,20,21 39:23 42:4,5,12 44:4 48:7 53:16 55:16 59:24 60:11,14 87:9,21 87:22 dilemma 46:10 diligence 75:22 direct 44:6 62:15 65:6,8 71:7 directing 66:10 direction 36:3 39:23 59:14 72:16 directly 62:3 director 3:21 51:24 66:11 70:7 disagreeing 92:16 disappearing 92:2 discuss 4:6 30:4 31:24 35:11,19 47:24 62:19 discussed 21:18 24:22 63:24 82:21,22 discussing 82:14 discussion 10:19 12:24 20:11 21:10,14 22:12 23:24,25 26:8 28:14 30:17 31:9 33:6,6,8 34:5,9,13,14 35:15,18 37:6 39:22 40:10 43:3 50:2 57:23 58:12 62:23 63:22 64:15 69:2
D	
Dailey 2:15 13:4,5,11,18 14:20 24:3,16 29:13,14 34:21 43:6 44:2,3 64:8 67:9,10 69:4 88:14,15 Dailey's 20:6,25 DANIEL 2:5 data 5:24 6:5,6,10,11 8:5,23,24 8:25 9:15,21 10:2,14,16,21 11:6,9,13,14,21,23,24 12:4,17 12:19,22 13:3,12,13,13 14:2,2 14:9,16,17,24,25 15:11,19,22 16:2,9,10,12,19 17:16 18:2,5 18:20 19:21 20:18,19,19 21:3 32:17 84:20 87:2 date 1:6 19:6,12 30:22 31:16 33:25 75:6 84:5 85:14 86:5,6 87:7 89:5 90:19 Dave 16:25 David 2:6,6,7 5:4,8,18 day 38:20,22 43:22 47:4 66:5,5 66:8 96:9 de- 23:3 deadline 81:13 82:10,12,23,24 83:21 84:22 deal 64:19 dealing 46:17 DEAVERS 2:14 debate 35:3 decades 56:18 December 28:22,25,25 December's 72:9 78:16 decide 57:10 decided 30:17 decision 47:8 89:15 decisions 10:25 14:6 83:16 deck 78:3 defined 39:16 70:14 84:5	

70:4,6,19 71:20 75:4,11 76:24
77:14,21 78:5 94:9
discussion's 72:7
discussions 33:12 58:14 83:2
86:17
disincentive 83:6
disingenuous 86:8
disoriented 43:10
dispatch 6:25
dispatched 60:15
dissent 29:12,13
disservice 83:14
distinction 60:18
doctor 59:17,23 60:2,7,16 77:17
doctor's 53:20
doctors 42:7
document 22:4 25:22 33:14 49:9
54:23
documentation 44:14
documenting 13:20 42:9
documents 27:20 28:5
doing 8:9 13:15 14:11 19:8,18
20:2,20 38:23 39:16,18 46:19
46:21,24 57:11,11 59:13 81:16
Don 2:7 40:6 48:10 56:13 73:7
86:14 89:19 90:10
don't 29:12
DONALD 2:8,8
dosages 4:6
DOUGLAS 2:9
downside 88:4
DOYNOW 2:7 28:15,19 93:17,20,23
94:4,7
Dr 2:10 3:6,7 4:22,25 13:4
14:20 20:6,25 21:3,15,16,21
22:23 24:14,16,24 25:12 29:13
32:7 34:13,21 35:15 36:6 43:5
43:6,25 45:12 48:12,18 49:20
49:20 54:16 56:16 57:20,25
58:16 63:19 64:4,5,8,8,22,24
65:25 66:2,20 67:9 68:9 69:3
70:20,23 71:17 73:13 75:11
80:25 81:2 84:3 85:8 88:14
91:17 92:7,10 94:5,14
drafted 85:4
draw 77:4
drip 38:21
driving 50:4
drug 4:6 21:13
Drupal 8:14 12:3

dual 9:8
due 29:2 75:22
duration 74:24
DUVALL 2:8 48:11 68:7 76:22
77:18 89:20 90:24 92:20
dying 23:16
DZIURA 2:18

E

E.M.R 32:12 42:9,11
E.M.S 3:19,20 4:19 23:11 24:8
30:10 32:10 36:3 41:6 42:13
43:17 56:25,25 89:17
E.M.T 17:5 33:18,20
E.M.T.C.C 72:9 74:15,18,20,25
76:11 78:10 87:14 91:23
E.M.T.C.C.s 74:11 75:15 76:16
78:18
E.N.T 32:11
E.P.C.R 19:2 60:12
E.S.O 20:16
earlier 64:8
easier 28:2 46:22
easy 49:8
Ed 71:25 72:4,7,10 86:16,17
88:9 90:25 93:13
Ed's 80:13 92:5
editorializing 23:7
educated 10:25
education 5:13 30:12 44:20 50:3
50:4,13 51:6 52:4 83:15 89:3
91:7 93:24
education's 57:12
educational 24:10 50:9 83:9
93:18
effect 28:17 92:18
effects 51:13
effort 35:13
efforts 13:14
eight 7:21 79:19 80:3 94:24
eighteen 4:4
eighty 8:9
eighty-eight 7:13
eighty-six 6:16
EISENHAUER 2:2
either 35:10 73:23 93:14
electronic 16:5
electronically 16:14
element 59:2
eleven 7:9,16 94:25

email 15:9 16:15,25 62:2
emergencies 53:8
emergency 7:14,15 35:24 36:4
 46:11 55:12 56:20 64:21
encounter 60:8
encourage 47:21 49:2,16 83:11
endorsement 29:25 57:4
endorsing 24:6 45:7 57:8
ends 18:11 84:7
engage 33:18
engaged 93:8
enrolled 93:8
ensure 15:21 16:8 50:13 51:5,20
ensuring 50:8
entertain 95:8
enthusiastic 19:18
entire 36:8 64:7
entitled 7:11
environment 53:16
equally 14:3 63:25
equipment's 57:12
equivalent 78:9
especially 18:16 87:5
essentially 4:12,13 42:2 90:21
established 74:13 84:2
et 51:13
event 36:23
events 29:24
eventual 72:8
eventually 41:17
everybody 3:17 4:10 5:21,22
 11:17 47:18 56:22
everything's 57:13
evolution 38:16 48:17
evolve 57:18
evolves 48:13 56:25
exactly 15:4 29:20 66:8 71:21
example 51:15 56:16
Excel 8:22
excellent 11:12 12:18 95:10
exceptional 45:22
exchanged 74:8
exchanging 61:18
excited 29:18
exciting 18:16
exist 41:11
existed 13:8
existing 35:5 81:23
exists 39:11
expand 47:6,7

expanding 53:9
expansion 48:14
expect 6:21
expecting 37:24
expiration 79:12,12
expire 74:20,21
expired 7:14,16 74:11
exploded 5:7
expressed 78:16
extend 81:5 88:10
extension 18:24
extreme 45:23
extremely 13:7 67:13 88:15

F

face 57:18
facet 12:13 90:17
facility 38:20 40:22 42:23
 53:18 54:18,20 55:2 57:22
 60:2 66:7
fact 24:7 50:9 51:21
factor 93:10
failures 19:2
falls 35:4,8 39:9 44:21 50:10
familiar 51:11
family's 23:13
fantastic 9:16 17:22 18:9 24:13
 40:13
far 20:2
favor 22:20 24:17 31:10 33:8
 34:6 66:19 71:10 94:9,23 95:9
fear 87:3
fears 87:12
February 1:6 96:9
feedback 76:9 90:11
feel 32:13 41:20 53:15 75:6,17
 91:13
feeling 70:8
feelings 45:19
feels 58:2
felt 80:5
female 6:15
field 7:16,17 36:4 49:17 90:16
fifty 11:13,13 14:9 16:11 76:17
fifty-one 79:15
figure 18:4 43:8,8 62:8 63:17
 68:23
figuring 49:6
fill 12:3 44:18 55:13
filter 17:4

final 7:12 74:15
finalized 22:15
finally 9:11 89:16
find 19:23 26:13 87:8 88:7
finding 25:8
findings 19:13
fine 55:19 58:9
fire 3:21
firm 84:2 91:10
first 3:6 7:2 14:15 18:13 21:10
 25:2 29:16 40:2 43:6 44:5
 58:20 65:25 79:10,11 82:22
 86:17 90:25 92:25
fit 43:10,12,21 69:21 72:13
fits 37:19 44:14 54:21
fitting 45:3
five 7:16 71:13 75:14,20 76:15
 76:16 79:14 94:23
fix 13:19
fixes 25:4
flip 78:4
flourish 40:18
flows 15:22
fluff 78:13
focused 34:24
folks 23:9
follow-up 64:21
following 5:12 61:21 74:17
foregoing 96:2,5
forever 90:15
form 12:3
formal 67:6
formula 25:20,20
formulary 21:9,13,17,24 33:19
 43:17,18 49:7
formulate 72:18 86:19
FORNESS 2:15
forth 33:15 36:14 38:9 70:13
 72:10 80:13 85:13
forty 7:25
Forty- 7:15
forty-five 79:18
forty-seven 79:17
forward 10:21 14:2,14,21 20:5,8
 23:10 27:6 28:8 36:16 37:8
 38:8 39:22 48:9 61:7,14 64:3
 70:3 81:12 83:8 86:5,12
foster 49:2 70:6
found 25:5
four 6:17 54:19 71:13 79:9,18

94:23
fourteen 10:10,12 11:5 78:17,24
fractures 29:17
fracturing 57:17
frame 72:12 85:7
frames 86:21 87:15 88:11,11,12
frankly 38:9,15,18 39:3 44:12
 69:13 89:5
freaking 23:13
frequently 50:2
front 26:16 52:22 54:7 58:18
 73:7
fulfill 23:15
fulfilling 67:14
full 11:7 14:14 84:20 87:18
Fullargar 25:12
fully 38:10 40:14
fun 26:23
function 59:13
functioning 59:22
fundamentally 35:20 70:5
funny 45:19
further 26:8 33:6 34:4 35:19
 47:24 57:17 58:14 61:9,10
future 63:17,18 72:19 87:19

G

GANDOLFO 2:4
garbage 14:9
Garden 1:10
Gel 5:7
Gena 26:24
generally 37:4,10 67:4
gentleman 76:23
getting 5:13 8:16 10:23 11:6,7
 11:13,23 12:8,8,17 17:24 18:5
 18:10,21 52:14
GILL 2:10
gist 48:16
give 4:25 5:24 6:11 14:13 16:18
 17:17 37:25 38:2 51:10 57:4
 73:14 76:3,3 77:23 87:23
given 13:7 19:11 29:22 36:14
 41:12
gives 61:12 72:19 87:13
giving 24:9 41:17 89:13
glad 62:5
gloves 45:3
go 6:5,14 14:14 15:19 16:2
 22:22 24:20 26:12 28:4,17

30:23 31:23 39:23 41:10 48:3 48:4 51:18 58:13,21 61:5 68:14,24 73:16 81:24 83:8 84:2 86:2 90:15,19 goal 90:6 God 5:7 15:3 19:25 goes 7:21 9:4 11:25 12:4 30:12 55:10,22 going 4:24 5:2,6,21,24 6:5,11 10:3 13:5 14:7,17 15:17 16:22 16:24 17:3 19:15 20:15 24:12 27:3,6,6 28:6,8,16 29:19 30:13 37:24 38:2 40:8,14 42:19,20 43:10 44:15,19 49:19 53:19,20,24 55:24 57:3,5,14 57:15,18 58:5,7 65:5,7,7 79:25 81:14 83:3 85:4 86:3,5 86:6,9 88:7 90:8 91:5,20,21 91:25 92:9 good 3:16 4:22 5:20 10:21 12:18 12:25 13:12 14:25 16:20 19:21 25:12 36:19 57:13 86:11,11 91:23 governed 46:6 graduated 89:3 grammatical 25:4 26:14 grams 38:2 42:21 grand 69:22 granted 83:19 grave 78:16 gray 56:7,24 great 15:17 20:11,24,24 26:11 46:18 57:2 58:11 59:20 62:7 Greenberg 2:18 16:20 26:20 31:13 40:2 46:9 51:24 55:6 58:17,20 61:5,25 62:7 76:5,8 76:20,25 77:5,8,12,16,19 80:22 81:7 84:3,24 90:4 94:11 94:14,16,19,25 GREGORY 2:10 ground 85:23 group 13:23 21:3,18 23:3 24:24 25:24 31:24 35:7 47:14 52:9 52:16,20 75:5 80:19,21 grow 4:4 48:23 49:2 51:20 53:25 growing 11:4 growth 48:15,16 49:2,3 guess 9:25 11:3 12:17,24 19:8 40:2 43:18 52:23 61:19 62:9 88:4,8	guidance 23:14 24:9 59:15 guideline 45:14 46:15 55:16 66:8 guidelines 53:14 54:2,5,25 68:16 83:11 guys 4:16,18 12:18 15:3 19:22
H	
HAAG 2:11 half 11:6,6 hand 24:18 31:10 33:9 34:7 71:10 84:20 94:10 handful 82:6 hands 45:3 94:19,22 happen 19:10,23 55:24 69:11 happened 9:5 36:22 happening 15:2 17:18 30:7 70:13 happens 28:9 59:25 happy 5:24 8:3 31:2 71:17 hard 25:24 78:8 haste 70:13 he'll 5:18 health 1:3 36:21 59:13,22 63:14 healthcare 18:23 hear 29:12 heard 21:2 84:18 85:6 hearing 52:8 56:22 82:2 92:21 96:7 help 13:3 18:6 34:20 helped 5:10 helps 26:24 47:8 70:6 heparin 38:21 56:16 hereof 96:4 hereto 96:4 hereunto 96:8 heterogeneous 36:15 high 55:13,13,13 94:23 highest 7:11,12,22 highlight 24:3 highs 5:23 Hilton 1:10 hire 15:17 historic 86:20 history 89:17 Hold 74:7 81:7 home 23:9 45:5 honest 92:20 honestly 24:6 44:25 69:25 87:21 honor 3:18 4:17 Hoosick 1:11	

hope 3:13 87:10
hopefully 27:7 71:2
horse 65:16
hospice 21:10 22:22 23:6,9,12
 25:20 33:17
hospital 18:24
hospitals 18:22
hours 4:6 23:13
Hudson 2:8 5:15 15:16 40:6,21
 41:22 43:2 56:14 57:25 71:25
 72:5,25 73:3,9,11,15,22 77:22
 80:12,17 86:15
hundred 6:2,4,6,8,10 8:5 10:7,8
 10:10 11:4 25:13 75:15,16
 76:15,16,17 79:14

I

i- 5:6
i-Gel 5:2 6:15,16 7:2 8:18 9:6
 9:7 12:14 14:13 17:2,6,6
i-Gels 7:23
I.V 41:6
I'd 3:3 77:14
I'll 62:5
idea 9:5 17:21 24:6 67:12 68:15
 91:2,11 93:8
ideas 13:2
identical 25:17 33:15
identified 15:15 37:23
identify 39:22
image 12:21 18:22
implement 28:16
implementation 30:22 31:3 33:25
implemented 31:16 74:18
important 13:7 14:20 24:7 26:12
 27:6,18 29:17,22 30:13 35:18
 35:21 44:4,17 47:23 51:16
 53:3 64:2 67:13
impressed 88:16
improve 6:23 8:12,17,23 88:23
inadvertently 23:12
incident 46:12
include 30:21 43:14
incorporated 21:11
incredibly 29:17,22
indefinitely 74:13 80:6 83:3
 87:19
indicated 41:21
indication 41:9
indications 36:13 41:7 51:13

individual 23:16 65:9
individuals 80:5
Informatics 9:16 11:21
information 6:12 8:13 10:6 12:8
 13:8 14:5 16:9 17:11
infrequently 49:25
initial 7:11 32:9
initially 19:12,14
initiative 63:15 64:17
initiatives 37:9 63:23
inject 18:17
injections 39:19
Inn 1:10
innovate 58:25
innovations 70:12
input 10:22
inserted 6:15
insertion 15:8
insertions 6:2,4,7 10:8 11:6
instance 57:3
instances 10:2
integrating 18:23
integrity 72:20
intensity 30:15
inter 38:20 42:23 53:18 54:18
 54:20 55:2 57:22 60:2 66:7
interest 50:23
interesting 43:20 58:8,10
interfacility 40:12
interpret 35:7 86:8
interpretation 68:17
intervention 37:12
interventions 37:11
intrathecal 39:18
introduce 5:3
introduced 51:2
intubation 9:9
investigating 5:11
involved 3:14 5:13 13:22 25:10
 46:8 51:14,15 59:17 60:17
 86:17,18 90:25
involving 86:17
ipratropium 32:5
Isaacs 2:9 32:7,9
issue 18:19 38:15 42:19 46:16
 49:5 50:3 68:8 83:21
issues 8:17,24 9:6 16:10 29:5
 81:11
it'll 46:2
it's 71:19

item 12:24 31:19 33:11 61:4,6,7 93:16	55:10,15,16,23 56:10,10,20,24 57:7 58:3,5,12,13,22,23,23	
items 21:11 34:10 71:23 95:7	59:6,10,11,19,20 60:3,9,15 61:9,22 63:7,19 64:6,10,24 65:3,5,7,8,9,9,11,12,20 67:11 69:25 70:8,11 72:2,23 75:5 76:10 77:24 78:3,8 82:16 83:18 84:15 86:15,19 87:10,10 87:13 88:18 89:8,11,20 90:14 91:21	
J		
January 81:21,21	kudos 23:16 33:21	
Jared 2:11 64:5	KUGLER 2:6 18:12 34:3	
JASON 2:11,12	Kutzin 2:11 64:6 70:20,21	
Jeff 3:5 40:3		
JEFFREY 1:8 2:12	L	
Jeremy 2:13 26:10,19,21 28:9 38:6 40:9,19 53:3 59:7 60:19	lack 29:2 86:23	
Jeremy's 37:14 42:3	LAINSON 96:2,11	
Jerry 76:2	lands 44:22	
Jim 63:3	LANGSAM 2:20	
job 4:16 11:17 12:18 26:11 57:13	language 32:3	
Johnson 86:19	languages 13:21	
JONATHAN 2:13	large 65:12 85:10,11,15,19,20	
JR 2:8 48:11 68:7	larger 80:4	
July 28:18,19,20 29:8,9,11 31:4 74:19,22	late 4:23	
K		
Kayser 25:6	latest 8:20	
keep 20:2 22:20 57:11 88:7 91:12 92:21	law 49:3	
keeping 93:6	laws 50:7	
keeps 52:10 54:15	laying 72:11	
key 76:9	learn 4:18 86:12	
kick 58:5 83:13	learned 5:17 86:4	
kicked 58:8	learning 5:17	
kilter 30:20	leave 52:23 55:3 87:23 88:8 90:15	
kind 9:21 35:6 40:16 42:12 48:7 52:10 54:21 55:8 60:15 61:18 61:20 90:10,17,17	leaving 90:22	
knew 13:8	left 75:17	
know 3:5,7 5:10,16 9:22 10:2,19 10:21 11:4,18 12:20,21 14:12 14:19,21 15:3 17:4,18,20 18:9 19:18,20,25 20:3,7 24:4,5 25:9 26:14,23 27:5,15,19,20 27:25 28:2,23 29:12,23 35:17 35:20 38:7 39:4 40:19 41:4,23 41:24 42:6,14 43:4,15 44:10 44:13,19 45:18 46:7 47:7,9,11 47:15,19,22,25 48:2,7 49:24 50:4,6,14,16 51:2,6,9,11,12 51:12,17,19,23 52:13,15,22,22 53:5,7,10,11,13,17,24 54:2,9	legislation 38:8 47:3,20 55:9 56:5	
	legislative 53:11	
	lend 64:12	
	lesson 86:4,12	
	let's 22:19 24:20 31:23 42:20 57:18 58:2 62:22 68:23 71:22 94:22	
	letter 81:4 84:16 85:2,3	
	level 9:12 14:22,23 30:15 32:11 32:13 57:9 67:16 68:4 69:7,9 69:11,12 72:9 74:12,18,23,25 75:8,10 78:9 79:2 81:14 82:16 83:16 84:21 87:20 89:18 92:15	
	levels 30:5 77:24	

leverage 19:21 20:7,21
LEWIS 2:10
life 24:8 43:22
lifesaving 78:12
lift 49:9
light 30:19 82:7 84:18
lightly 66:22
limitations 17:7
line 44:9 77:4
linked 50:6
lion's 26:10
list 29:8
literally 77:6
little 5:2,6 8:4 25:4 26:14
 27:25 30:20 35:3 43:9,24
 46:24 52:4 56:6 65:16,17 81:7
 86:4,8 91:10
live 22:17 61:10
lives 53:16
Livingston 7:20 89:8
local 46:6 57:7 69:11 78:23
 81:17,25 82:15
locally 23:8 32:13 81:19
LOCATION 1:10
log 27:5,9,17,22 28:3,12 33:22
 61:16
logical 72:19
logs 31:17
long 3:8 39:15 50:6 57:11 89:6
long-term 64:21
longer 84:6,17
look 5:10,24 10:18 11:22 12:5
 25:12 33:22 41:4,20 52:10
 56:4,5 61:9 71:17 78:8 79:25
 82:13 86:7 89:7
looked 56:18
looking 7:4,6 11:24 12:2,21
 14:8 19:13 23:10 27:2 28:11
 30:7,8 32:17 72:18 82:5 84:20
 85:3,4
looks 61:18
lose 68:4
losing 92:12
loss 7:15,17
lost 9:22 50:2
lot 5:9 10:13,16 12:22 15:23
 20:17 24:22 26:23 27:17 33:23
 34:18 45:24 57:21 59:18 60:4
 78:13 81:6,10,13 82:8,11
 86:25 94:8

lots 66:16
love 3:19 47:6
low 46:11,11 47:12,16 51:23
 55:14
lows 5:23
lumen 9:8,8

M

mail 8:21
maintain 44:9 49:11 78:25 89:2
maintains 72:19
maintenance 75:9
majority 82:4
making 5:11 8:25 27:5 38:5 44:8
 57:7 63:13
male 6:13
man 78:3
manage 14:17 32:18 89:21
managed 39:10
managers 16:8
managing 38:20,21
manner 59:22 77:11 90:9
MARK 2:14
MARKOWITZ 2:6
Marshall 2:10 3:7,7,16 4:22
 36:6 49:23
matches 50:14 51:6
math 79:24
matter 18:3 51:21
max 6:17
McEvoy 2:16 21:4,5 28:20 30:19
 73:13,16,20,23 74:2,5,7
mean 11:9,21 20:11 32:7 39:18
 43:5 56:3,16 57:18 58:3 79:8
meaningful 69:17
means 18:21 42:3
meant 77:3
Med 3:4 10:25 11:16 36:10 66:23
 72:14 80:12
median 5:21 6:12,16,17 7:9
medic 63:10
medical 1:1,5 2:1 3:1,21 4:1,2
 5:1 6:1 7:1 8:1 9:1 10:1 11:1
 12:1 13:1 14:1 15:1 16:1 17:1
 18:1 19:1 20:1 21:1 22:1 23:1
 24:1 25:1 26:1 27:1 28:1 29:1
 30:1 31:1 32:1 33:1 34:1 35:1
 36:1 37:1 38:1 39:1 40:1 41:1
 42:1 43:1 44:1 45:1 46:1 47:1
 48:1 49:1,24 50:1 51:1,18

52:1 53:1 54:1 55:1,4 56:1	mode 36:14
57:1 58:1 59:1,14 60:1 61:1	modern-day 78:9
62:1,15 63:1 64:1 65:1,6 66:1	modified 80:18
66:11 67:1 68:1 69:1 70:1	moment 8:2 17:14 46:10
71:1,7 72:1 73:1 74:1 75:1	moments 51:25
76:1 77:1 78:1 79:1 80:1 81:1	Monroe 7:20 89:7
82:1 83:1 84:1 85:1 86:1 87:1	month 17:15 49:12,12
88:1 89:1,4 90:1 91:1,6 92:1	monthly 8:23
93:1 94:1 95:1 96:1	months 26:22 29:24
medicated 55:17	moons 40:11
medication 21:9,13,17,24 25:20	moral 4:2
33:19 35:5 38:17,25 39:5 41:8	morning 3:17 5:21 16:20
41:25 48:20 49:4 51:10 53:21	motion 22:7,8 23:20 25:25 26:3
62:17 71:8	30:21,24 31:2 33:2,25 59:5,7
medications 33:20 36:13 43:16	60:21 61:25 64:9 65:4,24 67:6
49:11 51:14 62:12 64:11 68:12	70:2,16,20,22,24,25 71:2,10
71:4	71:16 72:10 73:24 74:8 75:13
medicine 4:14 14:6 25:21 30:10	80:14,21,24 92:4 93:14,17,18
41:6 55:4 63:23	93:22 95:5,9
meds 49:6	mouths 88:20
meeting 3:2,4 7:3 28:22,25 29:2	move 10:20 14:13 20:5,21 21:12
36:10 52:17,17 67:20 72:10	36:16 37:8 38:8 48:9 58:16
78:16 85:4 95:11	61:14 71:22 75:23,23 89:15,17
meetings 3:10 61:24	moved 25:19 33:3 34:2
meets 19:5 78:11	movement 9:12
members 25:10	moves 23:21 70:2
mentioned 63:8 81:24	moving 13:25 21:6 53:8 64:2
merge 8:21	81:12 86:12 88:18 90:22
meshes 45:20	muddied 86:22
message 85:14	multiple 75:21
met 69:17	Murphy 2:16 4:25 5:3 12:10
method 38:23 41:13	13:10 14:19 19:11
metrics 10:19 12:20 16:9	mutually 50:5
mic 58:21 81:7	
MICHAEL 2:14,15	<hr/> N <hr/>
MICHELE 2:15	name 96:9
Mid-State 7:18	narrative 9:3,4
midnight 74:22	Nassau 7:20
MIKE 2:16	national 19:7 20:15,17,19,22
million 66:5,7	87:25 89:18
mind 19:3	nationally 30:7
mindful 57:17	natural 18:18
minimal 40:18	nature 53:19 83:10
minor 25:4	nausea 6:20
minutes 6:25 7:2,7,9,10,25	navigate 63:18
minutia 25:8	nearly 25:16 33:15
minutiae 4:6	necessarily 57:24 65:2 79:18
misquoted 73:18	need 10:21 11:17,22 13:23 14:24
missing 10:14,16 12:22 16:11	14:25 15:4 16:9 22:7 27:24
20:9	32:13 35:8 41:19 43:17 46:8

48:22,25 51:19 56:8 57:14
 58:10 61:23 62:25,25 63:6
 64:14,17 65:16 67:15 70:9,16
 70:19 78:2,12 82:13 86:3,5,6
 88:21 94:19,25
needed 52:21 77:25
needing 57:4
needs 14:2 43:23 49:13 50:19
 57:23 64:16 67:14 68:15 69:14
 69:16 70:13
NEMESIS 18:3
neutral 79:19
never 36:9 56:15 60:17
new 1:1,2,12 2:1 3:1 4:1 5:1
 6:1 7:1,19 8:1 9:1 10:1 11:1
 12:1 13:1 14:1,7 15:1 16:1
 17:1 18:1 19:1 20:1,18 21:1,7
 22:1 23:1 24:1 25:1 26:1 27:1
 27:8 28:1 29:1 30:1 31:1,19
 32:1 33:1,2 34:1 35:1 36:1
 37:1 38:1,17 39:1 40:1 41:1
 42:1 43:1 44:1 45:1 46:1 47:1
 48:1,16 49:1 50:1 51:1 52:1
 53:1 54:1 55:1 56:1 57:1 58:1
 59:1 60:1 61:1 62:1 63:1 64:1
 65:1 66:1,22 67:1 68:1 69:1
 69:24 70:1 71:1 72:1 73:1
 74:1,25 75:1 76:1 77:1 78:1
 79:1 80:1 81:1 82:1 83:1 84:1
 85:1 86:1 87:1,20,23 88:1,2
 89:1,16 90:1 91:1 92:1 93:1
 94:1 95:1,7 96:1,2
news 29:22
nice 33:23 41:23 90:7
nine 35:23 41:5,13 43:21 50:16
nineteen 7:11,12,13
ninety-five 85:16
ninety-one 12:6
ninety-two 6:18
non- 36:19 71:22
non-emergency 53:13,16 54:3
non-physician 56:14
non-scheduled 36:4
non-specific 36:2
non-traditional 56:25
nope 57:10
normal 32:16 43:21
Northwell 78:22
notable 33:16
note 8:7 60:6

noted 21:4,5
notes 8:10 33:23 42:10,11 60:3
notice 36:24
novel 51:2
now's 87:6
nuance 18:20
nuances 26:15 69:13
nuchal 32:11,16,18
number 12:14 25:10 60:8 78:24
 79:23 84:20 85:11,15,16,20
numbers 5:8,22 8:4 79:8,25
 81:20 87:2,11
nurses 45:2 51:22
nursing 44:21,22

O

obligation 46:23
obtained 92:25
obviously 18:20 31:14 56:11
 77:23 79:4
occurred 53:7
occurrence 24:12
occurs 17:24
odd 8:9
offer 41:11 56:6 87:12
offered 91:15
offering 93:11
office 5:14 47:25
offline 59:14
oh 13:4 73:16 76:4 92:7
okay 5:6,22 10:13 21:6 22:11,20
 22:21 24:11,17,18 31:8,10
 32:6,25 33:9,10 34:4,8 55:22
 57:10 58:4 62:20 71:15,16
 73:10,13 76:19 77:12 90:12
 94:7 95:4
old 4:24 92:22
Olsson 2:5 43:6,7 45:12,16,25
once 18:7 26:13 28:24 48:24
 73:6
one's 57:15
ones 6:19 79:21
online 78:22
open 21:14 29:17 34:13 35:7
 40:23 43:3 75:10 76:14
opened 19:16
operations 56:21
opine 47:18,21
opinion 37:14 68:9
opinions 70:7

opportune 83:25
opportunities 30:8 34:22 67:23
 68:5 78:5
opportunity 16:18 18:13 23:10
 24:13 29:19 35:11 36:16 47:10
 53:6 56:6,7 63:5 75:19 85:24
 85:25
opposed 22:21 24:19 31:11 33:10
 34:7 53:14 71:14 95:4
option 84:12 87:13 90:5
options 48:7
oral 41:7
order 3:4 30:9 34:25 42:2 45:4
 48:8 53:20 60:13 62:16 63:11
 65:8,9 68:13 71:8
orders 36:2 42:11 60:2 66:6,17
original 30:24 87:18 93:9
originally 89:5
outdated 21:21
outside 40:4 49:6 68:3
overall 79:14,25 80:3,9
overseeing 66:13
oversight 36:21 37:20 39:13
 44:6,15 48:21 49:10 55:5
 62:16 65:6 66:18 71:7 72:16
overwhelmingly 79:22
OZGA 2:20

P

P.C.R 9:2,13 10:2 15:9,18 17:24
P.C.R.s 12:2 15:16 74:24
packet 32:24
Page 96:4
pages 96:6
PAILLIN 2:3
PAMELA 2:16
paper 16:4,7
paperwork 5:15
paramedic 37:24 43:22 50:21
 51:3,9 62:14 63:9 71:6 74:15
 81:14,17,25 82:15,16 84:9
 85:21,22 86:3 87:18,20,24
 88:3 92:25 93:4
paramedical 65:11
paramedicine 35:22 36:17,19
 37:8,17,18,19 38:16 40:17
 41:15 43:13 44:5,17 45:3 46:5
 46:7,14,18,25 47:18 48:13,15
 48:22 49:2 50:17 51:20 52:5
 52:11 54:25 55:4,8,20 59:11

60:14 65:14 66:12 67:6,12
 69:22 88:21
paramedicine's 45:21
paramedics 24:13 38:19 42:6
 50:9 59:12 66:17 68:11 69:20
 75:16 81:25 82:16
parameters 62:14 71:5
part 28:8 32:11 41:14 42:13
 46:9 55:17 60:10,16 65:11,13
 67:21
participants 67:20
participate 19:19
participating 3:10
participation 19:14
particular 6:5 79:16
particularly 27:16 44:13 45:2
 81:23
partner 7:5 19:9 23:11 30:8
 64:15
partners 33:18
partnership 72:17
pass 9:13 58:2
passed 47:14,20
passes 22:22 24:19 31:12 33:10
passionate 18:14
path 39:22
pathway 47:6 61:12 91:16
patient 6:25 7:7,9,24 36:2,3,20
 42:8 45:4,9 59:23 60:10 63:11
 63:13 65:8,9 68:13 71:8 72:21
 78:11 88:23
patient's 6:22 39:5
patient-specific 37:10 62:16
 63:11
patients 19:24 23:6 26:17 30:3
 34:19,24 42:7 63:15 67:23
 68:6
pay 52:3
pending 38:8
penetration 89:12
people 4:19 5:9,10,11 19:19
 24:7 29:11 44:11 72:19 81:11
 84:14 86:8 89:14 91:4
people's 87:12
percent 6:19,23,23 7:13,15,16
 7:16 11:13,14 12:7 14:9 16:11
 69:10 79:17,19,19,22 80:3,8
percentage 6:24 80:4 85:11,19
perception 68:17
perfect 14:11 18:8

period 29:3 62:17 71:8
periodically 26:13
permitted 47:4 56:11
persistent 15:3
person 66:10
personally 43:23 65:15 84:22
 91:9
personnel 36:3
perspective 8:19 37:17 49:17
 70:6 78:12 86:21
Pete 16:22
Peter 11:21 13:16
physician 35:2 36:21 37:20
 39:12 42:2 44:7 48:21 49:10
 66:6,12,13 68:13 72:16 75:5
physicians 51:17,22 60:9 67:19
 85:13
picked 25:11
picture 11:8
piece 15:14 89:16
pilot 5:7 34:16 46:18 47:10,11
 47:15 55:9
place 7:6 9:2 56:4,5 63:21,22
 68:10 96:3
placement 37:9 84:9
plan 13:25 52:19 72:12,19
planned 30:21 72:8
plays 83:23
please 24:18 68:22 70:22 71:10
 88:6
pleasure 3:18
plenty 66:4 75:19
point 12:10,17,25 14:3,4 18:11
 21:22 23:16 29:16 41:16 42:3
 43:4 49:24 53:25 57:21 63:17
 63:18 67:3 76:22 82:18 90:11
points 6:24 76:9
policies 14:16
policy 21:20 22:2,14 28:23
population 34:18 36:15
portion 55:17
portions 89:11
position 83:24 87:9
positive 91:25
possible 13:12 45:5 82:17
possibly 17:3 21:3 56:6 84:6
post 31:14
posted 22:17
potential 92:11
potentially 40:7 45:23

practical 30:3
practice 14:6 19:7 32:20 35:22
 35:23 39:8 50:4,14 51:3,12
 62:13,14 65:12 68:19 69:13
 71:5,5 74:10 83:10 89:9,18,24
 89:25
practicing 82:8 85:15
practitioner 62:13
practitioner's 39:7
practitioner's 63:12
practitioners 71:4
pre-hospital 34:22
precedent 35:21 38:10 63:5 66:4
 66:16
prefer 85:14
prepared 90:8
prescribed 38:25 39:5,6 41:24
prescription 34:25 35:5 42:2
present 5:18 50:23 72:2
presentation 78:14
presented 40:11
pretty 25:14 78:16 79:16 80:2
 85:22
previous 6:6 36:7
previously 21:17 24:23 32:12
prior 32:19
privilege 76:22
probably 7:5 18:15 46:14 54:6
 81:12 87:3 92:23
problem 17:3 18:11 48:20 88:17
problematic 46:25 89:4
proceedings 96:6
process 5:16 14:23 16:2 17:14
 25:6,11
processes 57:19 74:13
profession 55:24 88:22
program 8:9,15 16:8 34:16,24
 37:17,18,19 38:11 39:10 40:4
 40:5 41:15 44:6 47:10,12,15
 48:17,22 50:21 55:9 60:16
 62:15 66:12,18 71:6 78:25
 80:7 81:25 84:7,9 86:3
programs 18:7 20:18 46:18 48:3
 48:22 55:4 59:18,21 63:9
 65:14 67:12,16 68:2 69:7
 82:15 85:21,22 93:7
progression 18:18
project 5:2,7 9:20 11:10 12:14
 13:6 14:13,14,22 18:14
projected 19:6

prolonged 82:10,12
promise 91:11,13
propelled 36:17
proper 64:19
properly 77:24
proposals 79:9
propose 81:12
proposed 82:21 87:16
protocol 21:8,18 22:20,23 23:3
 23:20,25 24:18,24 27:7,14
 28:23 29:25 31:19,22 32:3,7
 32:21,23,24,25 33:9,11,12,17
 34:5,12,12 35:15 36:25 37:9
 37:16,20 39:2 41:25 42:16
 43:13,15 45:14,14,17 46:13
 47:15 48:23 54:23 55:18 57:8
 57:16 64:19 66:6,8 68:18
 79:11 89:23 90:22
protocols 4:5,7,9,14 21:10,25
 23:4 24:11 25:3,16 26:2,8,15
 27:17,24 28:24 31:3,20 33:2,6
 34:6 35:25 36:25 37:2,13
 38:22 39:17 40:12,13 43:16
 53:4,14 54:19,21 57:22 59:15
 68:10,16,19 74:17 75:10 89:25
provide 44:15 92:13
provided 65:8
provider 26:16 27:23 37:12 49:9
 88:5
provider's 49:17
providers 8:25 10:22 27:9 83:14
 84:21 85:20,21 87:13 90:8
 91:21
providing 49:14 65:6
pseudo 78:12
public 92:14
publicly 25:6
pull 72:6,11
pulling 23:17
purpose 70:18
purveyor 46:6
purview 56:19 90:3
push 20:5 83:21
put 9:2 11:21 16:24 55:5 56:4
 61:7 67:2 72:2,10 76:20
puts 83:14,24 87:12
putting 12:19 37:15 42:10,10
 65:15 80:13

Q

qualify 87:24
quality 10:19
quarterly 8:10
question 15:5,24 20:15 28:15
 40:3,24 65:14 70:11 75:5,24
 76:24 77:2,8,13 79:11 80:18
 88:9
questionable 45:23
questions 8:3 9:17,20 32:2,23
 42:18 54:13 66:15
quick 41:23 59:9 79:8 94:22
quickly 29:15 82:17
quite 3:8 44:12 64:24 65:22,22
 69:13 87:21 89:5
quorum 29:2
quote 56:20 87:5

R

Rabrich 1:8 2:12 3:3,5 4:21
 9:18 12:25 16:17 20:10,23
 21:6,16 22:6,9,11,19 23:19,22
 23:24 24:16 25:23 26:4,7
 28:13 29:10 30:16,23 31:5,8
 31:18 32:22 33:5,24 34:4
 35:14 39:25 40:3 43:5,25
 45:10 48:10 49:20 52:7,13,19
 54:12,15 56:13 58:11 59:4
 60:20,23 61:3 62:20,22 64:4
 64:22 65:25 66:20 67:8 68:25
 70:15,23 71:9,13,21 72:22
 73:2,4,10,13,19,21,24 74:4,6
 75:3,25 76:7,19 77:20 79:6
 80:10,16,20,23,25 81:2 85:8
 86:14 88:14 89:19 90:6 91:17
 92:3,7 93:12,21 94:2,5,8,12
 94:17,21 95:3
raise 24:18 31:10 33:9 34:7
 71:10 94:9,23
ramification 65:3
random 33:23
randomly 44:11
rapid 48:13
rare 24:12
re- 70:21 83:4
re-certification 92:24 93:6
re-certified 74:23
re-read 70:24
read 15:18 48:14 61:19 70:22
 72:11 73:5,6,8,22,23 74:7
readmission 59:19

<p>ready 64:24 65:18 reaffirming 80:14 real 14:25 18:12 36:18 59:9 78:8 92:18 realizing 8:15 really 14:20,22 17:9 18:4,7 19:12,20 20:10 22:25 23:8,9 26:15 27:6 29:15 33:22 35:18 36:18 37:15 44:4,16 51:4 63:5 64:12,14,20 65:2,5 69:21,25 73:17 83:11,13 88:3 89:4 91:13 reason 29:5 65:24 81:13 86:25 87:14 reasonable 44:23 48:19 61:15 85:6 reasons 15:19 36:13,19 recall 36:6 recalls 25:12 received 10:3 34:11 recognition 51:12 recommendation 72:3 recommending 81:5 record 60:11 71:11,12 90:24 96:6 recorded 6:24 reduction 32:15 59:19 redundancies 12:19 refer 10:6 28:12 referring 51:25 reflect 74:25 reflects 68:9 refresh 74:12 90:15 refresher 93:2,4 regard 56:17 regarding 32:2 64:10 74:9 regardless 15:11 regards 92:4 93:13 region 12:2 15:16 19:15 34:17 40:12 58:23,25 67:24 79:2 81:14 82:9 84:16,19,21 85:5 85:12,17,18 88:4 89:8 regional 54:18 55:3 67:14,16,21 67:25 68:4 69:12 regions 7:18 30:13 31:4 67:22 78:8,15 79:3 82:14 87:5,6 Registry 87:25 regular 25:14 regulate 48:17 49:18 55:25 68:22 69:6</p>	<p>regulation 69:7 92:18 regulations 50:7 regulatory 39:11 related 16:23 17:10 35:22 37:2 46:13 52:23 56:10 72:20 relationship 63:13 relationships 45:7 relatively 24:12 49:8 relegate 72:14 reliant 87:6 rely 16:4,10 relying 16:7,7 REMAC 3:23 34:16 35:12 54:18 57:7,23 58:2 67:20 85:13,13 86:13 remember 40:15 44:17 61:15 remind 55:6 91:20 92:23 reminded 48:14 reminiscent 40:10 removal 91:3 remove 67:25 74:18 89:23 removed 89:8 REMSCO 5:14 7:21 9:14 81:4 82:6 84:25 Repeat 93:22 replace 21:21 89:24 report 9:19 10:7,10 20:25 reported 96:3 Reporter 96:11 represented 3:23 request 21:2 86:13 requested 94:14,15 require 15:7 16:13 required 39:12 requirements 55:11 requires 41:25 requiring 63:12 requisite 39:13 resets 87:19 residencies 51:18 resolution 79:5 resource 35:5 resources 54:22 respond 34:19 responded 79:21 respondents 76:17 80:8 response 6:22 35:24 47:16 50:16 92:14 responses 51:24 79:15 responsibilities 53:5</p>
---	--

responsibility 50:13 51:5	safe 41:20
responsible 66:14	sand 77:4
rest 87:12	saw 53:10 72:13 88:5
restate 31:2	saying 31:16 40:10 51:8 55:15
restricted 33:19	83:20 84:4,12,13,14,17 85:2
restriction 48:23	86:20 88:21 90:21
result 36:4,5	says 15:2 24:15 27:23 39:4
retiring 82:9	48:18 60:7 90:23
review 4:7 25:5	scale 90:16
reviewed 23:2 24:5 91:22	scant 85:23
revision 74:19	Scarsdale 34:11
revisit 68:15	scene 23:11 32:17
revolving 72:7	schematron 9:13
ridiculous 38:18	scheme 69:22
right 4:23 5:20 7:6 8:21 9:2	school 51:18 89:4
10:17 12:16 13:4,8,10 14:8	scope 19:7 20:16 33:20 37:11
15:13 16:16 22:19,22 24:20	38:24 39:7,16 40:17 44:10,22
29:20 30:16 31:11,18 33:7	50:3,4,13,14 51:3,5,11 52:4
34:5,9 38:3,18,19 39:4 41:10	62:13 64:13 68:19 71:5 74:10
41:13 42:6,24 43:24 44:7	83:10 89:18,23,25 92:13
51:18 52:9 53:12 54:10 61:22	screen 74:3
66:9 68:25 70:4,15 71:9,17,22	Screens 74:8
77:5 82:20 84:24 85:18 86:7	screep 44:9
88:20 89:4,10,14 91:8,13 92:3	scrub 6:10 9:10 19:22
93:12 94:21 95:3,7,8,9	se 78:3
risk 60:4	second 14:16 22:9,10 23:22,23
road 19:5 83:13	26:4,5,6 31:6,7,8 33:4,5 34:3
ROBERT 2:17	62:19 70:17,18 79:10 93:19
Robert's 77:11	seconded 70:18 80:14 94:6
robust 48:21 93:8	section 54:22
role 24:8 49:14 50:7	sedation 30:3
roles 53:5 57:2	see 17:5 29:13 31:9,21 44:22,25
roll 52:6 94:13	47:7 58:6 69:2 80:15 81:10
rolling 5:12	83:22 84:15 88:9 89:10 94:22
room 3:24 4:3,10 11:17 67:19	seeing 18:15 48:13 59:23 88:13
86:4	88:16 95:8
roughly 70:8	seek 49:17 87:17
round 84:13 89:22	seeking 88:17,17
route 38:23 39:6 51:10 62:12	seeks 68:18
64:11 71:4	seen 4:4 18:17 28:4 29:23 36:9
routes 39:17 68:12	37:17,18 93:4
routine 41:13 45:22	SEMAC 3:24 10:25 11:16 13:24
rubber 19:4	31:2,14 50:7 51:4 54:20 57:9
rules 77:11	62:11 66:24 68:8 71:3,20
run 12:20 14:23 58:18	72:15 80:14 90:3
runs 81:19	SEMSCO 10:25 11:16 13:24 21:2
Ryan 2:18 16:18 61:17	31:14 50:8 51:4 53:5 72:11,13
	73:24 74:8 80:14
	send 15:8,15 16:13,14,25
S	sending 8:10 17:16
sacred 51:5	

sense 21:8 87:11
sensible 72:18
sent 22:15 71:24 72:3 81:4
 84:16 85:2,13
separate 17:12 43:14 55:7
separation 43:23
sepsis 41:18 45:23
September 22:3,15,16 25:18
 33:16
serve 36:15
served 50:5
service 3:13
services 77:25
set 27:6 28:7 35:22 37:13 39:23
 53:13 54:2 63:6 66:23 68:16
 82:23,24 83:11 86:5,6 87:7
 90:2,22 91:3
setting 38:10 52:16 55:16 63:5
 86:21 90:19
seven 6:25 7:2 71:14,14,15 80:3
 94:24
seventeen 4:2 13:17,18
seventy-one 6:13
seventy-seven 10:8,11
sheet 4:24
short 15:7
shortages 21:13
shorter 27:20
shortly 28:7
show 8:22 19:18
shown 13:6
shows 5:25 27:23
side 27:24,24 42:23 51:13 54:4
 55:12,14,19,20,23 61:10 63:20
 78:4 88:20
sides 88:19
significant 11:11 64:13 68:4
 79:13,23 89:11
similar 19:8 34:21 90:17
similarly 41:3
simple 27:11 51:8
simply 38:4
SIMPSON 2:5
single 9:7 66:25
sir 59:5
sit 4:5 5:4 21:24 27:24 53:12
 55:19 56:9 61:10 65:21
sits 55:8
sitting 54:9
situations 47:12 54:8

six 29:23 71:14 76:17 94:24
sixteen 78:17
sixty 80:7
sixty-five 6:23
sixty-four 6:2,7,7
sixty-six 79:22
size 6:17 37:19
skill 14:23 20:22 32:11
skills 78:13 92:12 93:6
slant 87:22
small 26:14
smaller 27:22
smartest 4:19
smile 36:9
smiling 36:7
smooth 90:7
solution 15:7 18:5 82:4 88:17
 88:18
somebody 15:18 74:2 87:21 88:12
somewhat 36:11 63:9
soon 30:2 39:19 65:20
sooner 81:12 83:6,25
sorry 13:19 20:11 29:14 30:18
 49:25 58:17 64:5 72:14 81:9
 93:22
sort 6:20 8:17 12:13 27:22
 56:19 60:21 78:9 87:10,19
sounds 49:3,5 52:8
speak 9:22 50:3
SPEAKER 79:7
speaking 25:2 50:15,17 88:19
specific 36:20,22 37:22 41:7,8
 41:8,9 48:20,20 53:22 55:9,10
 61:8,8 68:13 69:14 71:8
specifically 25:3 77:7
spending 58:10
spite 13:14
split 79:16 80:2
SpO2 7:13
sponsor 78:23,23
sponsors 76:12
spot 11:22 14:7
spotty 14:8
STAC 29:18
staff 26:23
stakes 55:13
stall 72:5
standard 27:7 28:7 45:21 66:24
 81:11 92:17
Standardism 49:24

standardization 50:20	strong 86:6
standards 1:1,5 2:1 3:1,4 4:1,2 5:1 6:1 7:1 8:1 9:1 10:1 11:1 11:2,16 12:1 13:1 14:1 15:1 16:1 17:1 18:1 19:1 20:1 21:1 22:1 23:1 24:1 25:1 26:1 27:1 28:1 29:1 30:1 31:1 32:1,10 33:1 34:1 35:1 36:1,10 37:1 38:1 39:1 40:1 41:1 42:1 43:1 44:1 45:1 46:1 47:1 48:1 49:1 50:1 51:1 52:1 53:1 54:1 55:1 56:1 57:1 58:1 59:1 60:1 61:1 62:1 63:1,7 64:1 65:1 66:1 67:1 68:1 69:1 70:1 71:1 72:1 72:14 73:1 74:1,11 75:1 76:1 77:1 78:1 79:1 80:1,13 81:1 82:1 83:1,9 84:1 85:1 86:1 87:1 88:1 89:1 90:1 91:1 92:1 93:1 94:1 95:1 96:1	structure 39:11
standing 81:16 87:18	study 13:13
stands 93:20	stuff 12:22 21:21 25:14 39:13 39:17 59:19 61:16 69:11
start 3:6 5:6 17:16 31:25 34:14 62:22,24 63:5 70:13	subcommittee 66:24
started 3:20	submitted 27:10 64:20
starting 18:14 68:2	subscribed 96:8
State 1:2 6:8 8:16 9:12,14 10:9 10:10 12:4,21 13:16 14:2,7,18 15:20 17:6,25 18:2,6,8,21 19:14,16 38:16 43:17 58:5 65:12 83:2 87:4,20,24 88:3,24 89:11,17 96:2	substantial 29:7 68:16
State's 9:13	substantive 25:21
stated 94:4 96:4	successes 78:6
statement 28:23 64:10	successful 11:10 20:3
states 19:8 20:20	suggest 56:15 78:8
Statewide 4:8,13 37:15 52:6 69:9	suggested 65:4
statistics 87:3,11	suggesting 81:5
stats 5:18	suggestion 93:24
stay 3:13 30:21 45:5	suggestions 9:24 13:2
steam 14:14	summarize 20:25 62:10
step 4:3 19:6 32:16 65:18	summary 76:3
stepped 3:24	sunset 71:23 72:8,24 75:6 84:5 85:14 86:9 87:15 89:16 90:13 90:19
Steve 26:24	sunsetting 72:12 82:22 84:15 86:18
STEVEN 2:18	super 23:8
stick 29:11 88:11	supply 49:11
stifle 48:16	support 14:24 24:7 38:10,14 40:14 47:22 58:24 60:24 61:11 63:23 67:4 75:13 79:18,23 80:3,21 88:22 93:14,18,23
stop 19:20	supported 63:24 91:2 93:15
Street 1:11	supporting 45:7 67:12
strip 77:25	supportive 35:13 42:15
	supraglottic 9:7,8
	sure 5:11 8:25 10:23 13:24 21:23 29:25 30:6,10,14,23 32:9 44:8 45:4,6 46:2 49:18 64:18,23 67:15 70:23
	surprised 86:10
	survey 76:6,12,18
	sways 79:22
	synopsis 73:14
	system 8:18 17:8 67:21 72:20 91:21
	systems 78:7
	T
	table 4:11 51:18 88:20
	tacks 60:4
	tagging 91:14

take 9:17,23 48:8 65:17,17
 70:17,19 78:8 79:20,24
talk 4:8 18:23 21:8 28:6 52:16
 53:3,12,17
talked 4:8
talking 29:18 45:20 59:25 63:10
 90:18
tallied 79:15
tally 80:9
targeted 37:10
task 26:10 51:23
tasked 58:23
taught 32:20
teaching 3:20
team 9:16 11:24 23:17 26:22
 33:21
technology 19:21 20:7
techs 91:6 93:7
tell 11:9
telling 11:19
ten 7:20 79:19 89:9
Teresa 62:2
term 15:7 43:14,14
terms 7:18 35:7 44:21,22
terrifies 44:12
thank 3:12,16,17 4:10,19,21,21
 9:18 15:2 18:13 20:23,23,24
 21:5,16 22:17 23:19,22,24
 24:15,16 25:6,23,23 26:4,7,9
 26:18 28:10,13 30:16 31:5,11
 31:18 32:22 33:24 35:14 39:25
 43:7,25 45:10 49:22 52:6,7
 58:11,21 65:24 70:15 75:3,25
 77:16,16 78:14 80:10 92:3
 94:2 95:10
thanking 3:6
thanks 16:17 41:22 43:5,24
 48:10 49:20 64:22 72:22 79:6
 88:14 89:19 91:17
thereof 86:23
Theresa 2:19 72:5
Theresa's 73:12
thing 8:20 16:21 17:19 29:20
 41:23,24 44:16 55:8 57:2 59:9
 61:8,22 67:11 78:13 79:16
 87:22 91:8
things 9:12 12:6,15 15:23 18:16
 19:9 25:11 27:12,20 29:15
 39:15 44:4,25 46:19,20,21
 47:7,8 48:2 53:2,6,19 54:4
 55:7,21 56:4,9 57:17 58:25
 59:15 60:8 61:13 64:2 66:17
 67:18 69:5 83:10 92:12
think 5:9 10:20 11:9,15,19 13:3
 14:19 16:10,11,22 17:12,13,15
 17:22 18:12,15,19 19:4,20
 20:7 21:8 24:6,11,13 25:13
 26:11 27:19 28:6 29:4,6,8,11
 29:21 32:3 34:22 35:10,18,20
 38:12 39:18,21 40:7,8,9,23,24
 41:3,4,10 42:17,22 43:2,3,4
 43:10,20,22 44:3,8,11,16 45:6
 46:5 47:9,9,11,13,18,23 48:18
 48:19 49:25 50:17,22,25 52:15
 53:2,24 55:22 56:22 57:21
 58:9,12,14 59:6,10,11 61:6,11
 62:10 63:25 64:9,14,16 65:15
 65:16,17,18,20,21,22,22 66:15
 66:21,22 67:4,10,13,13 68:23
 69:4,20 74:2 75:4,22,24 80:18
 81:6 82:7,11,13,25 83:7,13,23
 84:12,14,16,18,22 85:2,3,6
 86:11,24 87:8 89:13 90:4,6,18
 91:24 92:11 94:2
thinking 56:15 59:7
thirteen 7:14,19 13:16
thirty 69:23 75:17 93:10
thirty- 7:9
thirty-five 7:25
thirty-nine 6:4 8:6 10:8,11
 11:5
thirty-one 6:8
thirty-three 6:22
thought 5:6 19:15 65:2 83:3
 89:6
thoughts 9:20 48:12 68:9
thousand 25:13 69:10
three 31:20 32:18 71:13,14,15
 71:15 75:15 79:9 89:13,14
 94:10,23
throw 67:11 69:18
thumbs 14:13
TIFFANY 2:19
time 1:7 3:8 6:25 7:8,9,24 12:7
 17:6 23:16 25:18 29:3 36:8,24
 39:19 42:8 44:23 50:24 53:12
 54:10 55:21 58:10,17 63:17,18
 67:7 69:5,20 72:12 75:2,24
 77:3,23 80:19 81:10,24 82:24
 85:7 86:19,21 87:2,7,15 88:10

88:11,12 90:8 91:5,9 92:2 96:3	43:1 44:1 45:1 46:1 47:1 48:1
timeline 74:19 90:2 91:3,14	49:1 50:1 51:1 52:1 53:1 54:1
timelines 82:21,21 83:12 84:2 89:21	55:1 56:1 57:1 58:1 59:1 60:1
times 25:13 32:18 66:5,7	61:1 62:1 63:1 64:1 65:1 66:1
timing 54:8	67:1 68:1 69:1 70:1 71:1 72:1
tiny 85:18	73:1 74:1 75:1 76:1 77:1 78:1
title 21:20	79:1 80:1 81:1 82:1 83:1 84:1
today 5:9 31:14 33:7 47:4 54:6 55:18 87:3,9	85:1 86:1 87:1 88:1 89:1 90:1
told 37:25 75:8	91:1 92:1 93:1 94:1 95:1 96:1
topic 90:25	truck 69:19
topics 13:9	true 96:6
total 7:9 72:15	truly 18:23,24 69:3,6
touch 20:16	try 16:22 48:8 58:20 62:3 94:22
touché 39:20,20	trying 14:21 15:4 17:23 18:4 43:8,8 48:3,4,4 58:24 61:19 62:10 69:5 83:14
touches 19:2	turn 3:14 60:18
traditional 84:9 85:22 86:3 93:2,4	turns 27:23
traditionally 35:25 65:11	twelve 13:15 78:24 95:2,5
training 14:22 71:25 72:3,7,10 72:14 80:13 86:16,16 88:9 90:25 92:5 93:13	twenty 7:20
transcription 96:5	twenty-eight 7:12 69:23
transfer 7:8 12:21	twenty-five 3:9,19
transition 56:23 90:7,9	twenty-one 7:19
transitioned 75:14	twenty-three 7:7
translated 10:23	twice 6:14
transmission 8:24 9:22 16:11	two 5:25 6:3,10,17 8:5 10:7,11 11:5 25:19 27:24 29:14 42:21 44:24 45:3 48:6 50:5 55:7 69:5 71:14 79:11 80:2 82:5 94:10,19,25 95:4,4,5,5,5
transparency 80:5	type 44:10 60:14 64:17,17
transport 7:7,8 38:21 54:19,20 55:2 66:7	typewritten 96:5
transports 41:2 53:18,18	
travel 78:19	U
tread 52:2 66:22	uh-huh 71:16 80:16 92:6
treat 57:21	ultimately 14:16 44:14
treating 44:11	un 91:22
treatments 51:2,15	unacceptable 16:12
tremendous 4:16,16 19:19	unanimously 24:19 31:12 34:8 35:12
tremendously 36:14	unaware 76:11
Trend 18:22	unchanged 6:23
trigger 16:24 17:10,17	unclear 63:2
Troy 1:1,12 2:1 3:1 4:1 5:1 6:1 7:1 8:1 9:1 10:1 11:1 12:1 13:1 14:1 15:1 16:1 17:1 18:1 19:1 20:1 21:1 22:1 23:1 24:1 25:1 26:1 27:1 28:1 29:1 30:1 31:1 32:1 33:1 34:1 35:1 36:1 37:1 38:1 39:1 40:1 41:1 42:1	underneath 55:2
	understand 37:21 51:19 52:24 55:21,25 57:16 60:9 67:22
	understanding 67:17 75:7 91:4
	understands 38:12 69:12
	undifferentiated 41:18 42:13 63:15

unfortunately 47:5
unhappily 86:10
uniformity 50:20
unintelligible 12:11 27:2 54:14
 60:22,23 72:25 73:15 94:11
unique 63:9
unit 7:5
unknowns 86:25
unquote 56:20 87:5
unregulated 91:22
update 5:2 14:15 27:7,14 29:8
 33:12 34:5
updated 39:3 48:24 75:8 89:3
 92:23 93:9
updates 24:20 34:6 89:23 93:5
upgrade 83:15
upkeep 92:17
urge 52:2
use 7:23 8:14 34:17 40:8 42:15
 42:16 43:14 69:19 84:8
useless 13:19
uses 7:19 8:6 17:5
utilize 34:23
utilized 40:7,7

V

VAC 34:12
vaccination 59:15 60:15
vaccinations 36:17
vaccine 31:22 32:24
vaccines 37:3 42:24
VALERIE 2:20
valid 14:3
validation 13:10,13 14:11
Valley 5:15 15:16
Vanc 38:2
vancomycin 42:21
variety 9:9 12:6
vehicle 38:4
vendors 9:6 13:15,16,18
venues 15:4
versus 35:23 57:14 58:5 63:14
vertical 43:9
vestigial 89:16
vetted 23:2 67:16
view 87:22
Viewer 18:22
views 91:9
Violante 2:7 4:25 5:20 9:23
 10:5,15,18 12:12 15:13 16:16

17:21 20:14
visible 18:22
visit 36:4 59:16,17 60:8
visiting 45:2 51:22
visits 42:8
voice 47:22
voiced 70:7
voicing 78:20
voids 44:18
vomiting 6:20
vote 22:19 24:19 35:11 40:15,16
 57:15 65:23 76:25
voted 28:21,24 54:7
votes 28:24

W

wait 83:22 94:17
waiting 77:21
Walters 2:4 9:25 10:13,17 12:16
 13:17 80:25 81:2,3,9 84:3,11
 84:25 92:6,7,9
want 3:12 4:9 5:22 14:24 19:5
 20:3,4,12,14 21:12 25:6 26:9
 26:18 29:11 30:20 32:8 34:14
 40:17,23 57:3,7 59:8,8,9
 60:17 61:20 66:23 67:2 73:17
 73:20 77:25 78:21 84:7 85:24
 86:2,12 90:2 91:16 92:8 93:14
wanted 3:17 15:19 16:18 34:12
 70:3 82:24 91:18
wanting 19:19
warning 83:20
warranted 79:4
wasn't 25:9 28:25 54:7 84:11
waters 86:22
waveform 30:2
way 11:23 12:6 16:5 35:10 41:10
 47:20 48:16 56:18,24 60:11
 69:17 79:24 80:18 84:13 88:7
 89:21
ways 9:9 11:25 13:20 39:24
we'll 17:4,16 20:21 22:22 28:8
 31:25 52:23 56:11 58:4,15
 70:17,18 72:11 73:6 86:11
 94:13
we're 4:12 5:6,12,17 7:4 8:10
 8:21 9:15 11:7,12 12:17 13:15
 14:17 15:13,17,24 16:24 17:3
 17:11,13,22 20:3 27:6 28:6
 30:2,7,8 32:21 35:20 37:23

42:5,5,15 44:15 45:7,20 48:2
 48:13 49:18 50:15,16 55:21
 56:15 57:14,17,18 58:5 59:24
 61:4 63:8,10 64:24 65:15,18
 65:22,22 69:5 70:8 72:15,18
 72:20 76:3 77:20 78:2 86:5,9
 88:13,19,19,20 93:10
we've 5:17 8:8 18:17 20:16
 24:22 28:4 30:17,17 56:17,18
 75:22 82:20 84:18 93:13
weave 56:24
website 22:17 31:15
week 6:3 31:15 38:3 49:12,12
week's 10:6
weeks 4:7 5:25
weight 6:16
weird 44:10
welcome 13:4
well-check 59:16 60:16
went 76:12
weren't 19:11
west 68:21
Westchester 34:16 35:12
whatnot 37:2
where-- 48:3
WHEREOF 96:8
wild 68:21
willing 91:12
Winslow 2:12 15:6 16:6 21:21
 22:8,13 23:23 26:3,9 29:6
 31:7 33:4 54:16,17 62:18,21
 62:24 63:19 66:2,20,21 75:11
 75:12 77:3,7,10,14 91:17,19
 92:10
Winslow's 57:20
wise 54:8
wisely 77:18
wish 55:5 75:23
wishes 23:15
WITNESS 96:8
wonderful 46:19
word 43:9 45:15 62:4
words 77:18
wordsmith 61:24
work 3:18 4:11,17,18 5:4,21
 9:16,19 13:14 15:14,25 17:9
 17:23 18:7 20:24 21:23 22:25
 25:8,24 26:15 41:13 53:23
 68:24 70:12,12
worked 50:18 78:22

working 8:11,11,21 9:15 17:13
 21:3,18 23:8 26:21 28:8 52:9
 52:16,20 59:14 73:11
works 15:2,11 58:21
world 4:19 16:5 36:18 42:6 47:2
 53:15 56:24
worms 40:24
worry 38:9
worth 46:4
worthwhile 42:24
wow 20:9
write 66:5,6
writing 66:16 67:2
written 61:21 62:2 64:20 68:13
 80:22,23
wrong 84:4
Wyoming 7:20

X

Y

yeah 9:23 10:15 12:12,25 13:2
 13:11 15:13 20:10,14 22:24
 29:10 34:15 40:22 42:25 52:7
 54:11,17 61:19 62:22 66:21
 69:3 70:17,25 71:16 73:2,5
 75:12 76:7 79:7 80:20,23 85:8
 91:19 93:21 94:12,17
year 22:4 26:13 28:24 32:19
 48:24 53:7,11 81:19
years 3:9,12,19 4:2 53:23 54:19
 75:14,20 82:9 83:19 89:9,13
 89:14 91:2,9 93:10
YEDIDYAH 2:20
Yep 77:20
yesterday 10:20 82:2 84:19 85:6
York 1:1,2,12 2:1 3:1 4:1 5:1
 6:1 7:1,19 8:1 9:1 10:1 11:1
 12:1 13:1 14:1,7 15:1 16:1
 17:1 18:1 19:1 20:1 21:1 22:1
 23:1 24:1 25:1 26:1 27:1,8
 28:1 29:1 30:1 31:1,19 32:1
 33:1,2 34:1 35:1 36:1 37:1
 38:1,17 39:1 40:1 41:1 42:1
 43:1 44:1 45:1 46:1 47:1 48:1
 49:1 50:1 51:1 52:1 53:1 54:1
 55:1 56:1 57:1 58:1 59:1 60:1
 61:1 62:1 63:1 64:1 65:1 66:1
 67:1 68:1 69:1 70:1 71:1 72:1
 73:1 74:1 75:1 76:1 77:1 78:1

79:1 80:1 81:1 82:1 83:1 84:1 85:1 86:1 87:1,20,24 88:1,2 89:1,16 90:1 91:1 92:1 93:1 94:1 95:1 96:1,2 York's 20:19	24 79:7 27th 96:9 28 41:2
Z	3
zero 7:3	30 40:25 50:10
0	4
09:22 71:11 09:23 71:12	5
1	6
1 28:19,20 29:8,9,12 31:4 74:19 74:22 96:6 10th 47:2 1996 75:8	7
2	7 1:6
2/7/2024 1:1 2:1 3:1 4:1 5:1 6:1 7:1 8:1 9:1 10:1 11:1 12:1 13:1 14:1 15:1 16:1 17:1 18:1 19:1 20:1 21:1 22:1 23:1 24:1 25:1 26:1 27:1 28:1 29:1 30:1 31:1 32:1 33:1 34:1 35:1 36:1 37:1 38:1 39:1 40:1 41:1 42:1 43:1 44:1 45:1 46:1 47:1 48:1 49:1 50:1 51:1 52:1 53:1 54:1 55:1 56:1 57:1 58:1 59:1 60:1 61:1 62:1 63:1 64:1 65:1 66:1 67:1 68:1 69:1 70:1 71:1 72:1 73:1 74:1 75:1 76:1 77:1 78:1 79:1 80:1 81:1 82:1 83:1 84:1 85:1 86:1 87:1 88:1 89:1 90:1 91:1 92:1 93:1 94:1 95:1 96:1 2000 3:23 92:24 2003 3:24 2006 3:25 2019 32:17 2021 32:10 2023 22:15 47:2 2024 1:6 31:4 96:9 2025 81:21 2026 74:16 2027 74:19,22 81:22 84:7,15,22 85:6 89:6,13 2030 81:6,13 84:6,17 85:2 235 1:11	8
	8:04 1:7 3:2
	9
	9:50 1:7 95:11 92 3:20 95 96:6 97 3:21